

US EPA ARCHIVE DOCUMENT

- - - - -X
:
U.S. ENVIRONMENTAL :
:
PROTECTION AGENCY :
:
- - - - -X

FIFRA SCIENTIFIC ADVISORY PANEL (SAP)

OPEN MEETING

CONSULTATION ON DERMAL SENSITIZATION

ISSUES FOR EXPOSURES TO PESTICIDES

May 4, 2004

[8:40 a.m.]

Holiday Inn Rosslyn at Key Bridge
1900 North Fort Myer Drive
Arlington, Virginia 22209

1 **PARTICIPANTS**

2 FIFRA SAP Session Chair

3 Steven Heeringa, Ph.D.

4 Designated Federal Official

5 Mr. Paul Lewis

6 FIFRA Scientific Advisory Panel Members

7 Stuart Handwerger, M.D.

8 Gary Isom, Ph.D.

9 Mary Anna Thrall, D.V.M.

10 FQPA Science Review Board Members

11 Paul Bailey, Ph.D.

12 Gary Burleson, Ph.D.

13 Ih Chu, Ph.D.

14 Iain Foulds, F.R.C.P.

15 A. Wallace Hayes, Ph.D., DABT, FATS, FIBiol.,

16 FACFE, ERT

17 Abigail Jacobs, Ph.D.

18 Jean Meade, D.V.M., Ph.D.

19 Torkil Menne, M.D.

20 Nancy Monteiro-Riviere, Ph.D., DABFE, DABFM

21 Richard Pleus, Ph.D.

3

1 Paul Siegel, Ph.D., MSPH

4

1 EPA OFFICIALS

2 Joseph J. Merenda, Jr. (OSCP)

3 Jim Jones (OPP)

4 Timothy McMahon, Ph.D. (OPP)

5 Jonathan Chen, Ph.D. (OPP)

1 P R O C E E D I N G S

2 DR. HEERINGA: Good morning,
3 everyone, and welcome to our two-day,
4 three-day meeting of the FIFRA Scientific
5 Advisory Panel, the topic being "Consultation
6 on Dermal Sensitization Issues for Exposures
7 to Pesticides."

8 I'm Steven Heeringa. And I'm a
9 biostatistician from the University of
10 Michigan Institute for Social Research. I'm a
11 permanent member of the SAP Panel and will
12 serve as the chairperson for the Panel for the
13 next three days.

14 My responsibility is primarily to
15 keep things moving here and to draw on the
16 assembled expertise of the substantive topic
17 Panel members.

18 Before we begin proceedings, I'd
19 like to have everyone on the Panel introduce
20 themselves, state their name, and provide
21 their affiliation and their background. And

6

1 I'd like to begin here to my left with Stuart
2 Handwerger.

3 DR. HANDWERGER: I'm Stuart
4 Handwerger. I'm from the University of
5 Cincinnati Children's Hospital Medical Center.
6 I'm a pediatric endocrinologist. And my major
7 research interest is in the hormonal control
8 of human fetal growth and metabolism.

9 DR. THRALL: Good morning. I'm Mary
10 Anna Thrall. I am a professor of pathology at
11 Colorado State University.

12 DR. ISOM: I'm Gary Isom, professor
13 of toxicology at Prudue University. And my
14 area of interest is neural toxicology and
15 specifically, mitochondrial toxins.

16 DR. PLEUS: Good morning. My name
17 is Richard Pleus. I'm the director of
18 Intertox, Seattle, Washington. My area of
19 interest besides general toxicology is
20 pharmacology, neurotoxicology, and
21 developmental biology.

1 DR. HAYES: I'm Wally Hayes, Harvard
2 School of Public Health. A toxicologist with
3 an interest in risk assessment and
4 alternatives.

5 DR. MENNE: I'm Torkil Menne from
6 the University of Copenhagen. I'm a professor
7 at the Department of Dermatology. My main
8 research interest is in allergic contact
9 dermatitis and particularly in nickel chromate
10 and preservatives.

11 DR. FOULDS: I'm Iain Foulds. I'm a
12 Consultant Dermatologist in Birmingham in the
13 United Kingdom. I run a contact dermatitis
14 clinic for occupational skin disease. And I
15 have a research base at the Institute of
16 Occupation Health at the University of the
17 Birmingham.

18 DR. MONTEIRO-RIVIERE: I'm Nancy
19 Monteiro-Riviere, North Carolina State
20 University. I'm a professor of investigative
21 dermatology and toxicology. My area of

8

1 interest is dermatotoxicology.

2 DR. SIEGEL: My name is Paul Siegel.
3 I'm with the National Institute for
4 Occupational Safety and Health Effects
5 Laboratory Division. I'm the team leader for
6 bioorganic chemistry. My main research area
7 of interest is hypersensitivity diseases.

8 DR. CHU: Good morning. I'm Ih Chu
9 from Health Canada, a toxicologist. My
10 research interest is in systemic effects and
11 pharmacokinetics. Thank you.

12 DR. JACOBS: I'm Abby Jacobs from
13 the Center of Drug Evaluation and Research,
14 FDA. And I'm a toxicologist.

15 DR. BAILEY: My name is Paul Bailey.
16 I'm a toxicologist with ExxonMobile. My
17 research interests are in the areas of contact
18 dermatitis and occupational dermatitis.

19 DR. MEADE: Good morning. I'm Jean
20 Meade. I'm with the National Institute for
21 Occupational Safety and Health. I'm in the

9

1 agriculture and immunotoxicology group. I am
2 team leader for the immunotox group.

3 DR. HEERINGA: Thank you very much.

4 At this point in allergic contact
5 dermatitis, I'd like to introduce the
6 designated Federal Official for this meeting,
7 Mr. Paul Lewis. And Paul will have some
8 comments on meeting procedures and protocol.

9 MR. LEWIS: Thank you, Dr. Heeringa.
10 I'm Paul Lewis, and I'll be serving as the
11 Designated Federal Official for this meeting
12 of FIFRA Scientific Advisory Panel over the
13 next three days. I'd like to thank Dr.
14 Heeringa and members of the Panel of agreeing
15 to serve for substantive discussions over the
16 next three days and for Dr. Heeringa for
17 serving as our Chair. We appreciate the
18 allergic contact dermatitis and the effort of
19 the Panel members in preparing for the meeting
20 taking into account their busy schedules.

21 By way of background, the FIFRA SAP

10

1 is a Federal Advisory Committee and provides
2 independent scientific peer review and advice
3 to the Agency on pesticides and
4 pesticide-related issues regarding the impact
5 of proposed regulatory actions on human health
6 in the environment. The FIFRA SAP only
7 provides advice and recommendations to the
8 Agency, while decision-making and
9 implementation authority remains with the EPA.

10 FIFRA established what is called a
11 permanent panel which consists of seven
12 members. The expertise of the Panel is also
13 augmented through a Science Review Board. And
14 Science Review Board members would be these ad
15 hoc members are temporary members of the FIFRA
16 SAP, providing additional scientific expertise
17 to assist in reviews conducted by the Panel.

18 As the Designated Federal Official
19 for this meeting, I serve as a liaison between
20 the Panel and the Agency. And I'm also
21 responsible for ensuring that the provisions

11

1 of the Federal Advisory Committee Act are met.

2 The Federal Advisory Committee Act
3 of 1972 established a system of governing the
4 creation, operation, and termination of
5 executive branch advisory committees. FIFRA
6 SAP is subject to all FACA requirements.

7 These include having open meetings, such as
8 we're having here today, timely public notice
9 of all meetings, and document availability.
10 And all documents are available -- I will
11 discuss that a little bit later on -- through
12 EPA Office of Pesticide Program's Public
13 Docket.

14 As the Designated Federal Official
15 for this meeting, a critical responsibility is
16 to work with appropriate Agency officials to
17 ensure all ethics regulations are satisfied.
18 In that capacity, Panel members are briefed
19 with the provisions of the Federal Conflict of
20 Interest Laws. Each participant has filed a
21 standard report government financial

12

1 disclosure report.

2 I, along with our deputy ethics
3 officer for the Office of Prevention of
4 Pesticides and Toxic Substance, and in
5 consultation with the office general counsel,
6 have reviewed each report to ensure all ethics
7 requirements are met. And a sample copy of
8 this form is available on the FIFRA SAP web
9 site.

10 The Panel will be reviewing several
11 challenging issues over the next three days.
12 We have a full agenda, and meeting times are
13 approximate. Thus we may not keep to the
14 exact times as noted due to Panel discussions
15 and public comments. We strive to ensure
16 adequate allergic contact dermatitis for
17 Agency presentations, public comments to be
18 presented, and Panel deliberations.

19 For presenters, Panel members,
20 public commenters, please identify yourself
21 and speak into the microphones since this

13

1 meeting is being recorded.

2 Copies of presentation materials and
3 public comments will be available in the EPA
4 Office of Pesticide Programs docket in the
5 next few days.

6 For members of the public requesting
7 allergic contact dermatitis to make a public
8 comment, please limit your comments to five
9 minutes unless prior arrangements have been
10 made. For those that have not preregistered,
11 please notify myself or members of the FIFRA
12 SAP support staff if you're interested in
13 making a comment.

14 As I mentioned previously, there is
15 a public document for this meeting. All
16 background materials, questions posed to the
17 Panel by the Agency, and other documents
18 related to this SAP meeting are available in
19 docket. Additional overhead slides presented
20 will be available in the next few days.

21 In addition, the major substantive

14

1 background materials are also available on the
2 web site. This includes the meeting agenda,
3 listed Panel members, the background document,
4 and the charge to the Panel.

5 For members of the press, Mr.
6 Douglas Parsons, Director of Communications,
7 Media Office of OPPS is available to answer
8 your questions at this meeting. Mr. Parsons
9 is standing right here. So we request all
10 members of the public who have questions about
11 the operations of this meeting or any press
12 inquiries, please direct those questions to
13 Mr. Parsons.

14 At the conclusion of this meeting,
15 the SAP will prepare a report as response to
16 questions posed by the Agency, background
17 materials, presentations, and public comments.
18 And this report serves as meeting minutes. We
19 anticipate the meeting minutes will be
20 completed in approximately six to eight weeks
21 after this meeting and, again, will be

15

1 available in the Office of Pesticide Programs
2 docket in addition to being posted on our EPA
3 FIFRA SAP web site.

4 I want to thank members of the
5 public and, again, for Panel members for
6 participating in today's meeting and over the
7 next three days of discussion. I'm looking
8 forward both to challenging, interesting
9 discussions during the course of our meeting.

10 Thank you. Dr. Heeringa.

11 DR. HEERINGA: Thank you, Paul.

12 Just a few comments before we begin
13 the formal session. I should point out that
14 one of our Panel members, Dr. Gary Burleson,
15 will be arriving this afternoon. So he is a
16 member of the Panel, and we'll have him
17 introduce himself at that point.

18 As the chairperson for this meeting,
19 again, I indicated my role here is primarily
20 to make sure that we get as open and accurate
21 an exchange of information and views as we

16

1 possibly can over the course of the next two
2 to three days. I do want to emphasize, and I
3 think all of us realize, that this is a
4 Scientific Advisory Panel; and, therefore, we
5 will focus our efforts on the science of the
6 issues at hand related to dermal
7 sensitization.

8 With regard to actual process, a
9 minor detail but an important one as probably
10 my major role as chair, that is to make sure
11 that, if you use the microphone to make
12 comments, state your name before you actually
13 use the microphone. We are transcribing this
14 onto audio tape, and it's important to
15 identify yourself before you speak. That
16 applies to Panel members and also to public
17 commenters and other members of the audience
18 who may be brought forward to provide specific
19 information.

20 And, finally, with regard to the
21 flow of materials, if this meeting progresses

17

1 as many of the others that I've been involved
2 in, there will be an exchange of materials
3 that will take place, either in the form of
4 copies of overheads of presentations, papers
5 that are submitted for additional review or
6 information. Please be sure that a copy of
7 those materials is given to Mr. Lewis so that
8 it can be included in the EPA docket and,
9 therefore, be made available publicly. And it
10 is the fact that if you provide something to
11 the Panel, it will be part of the docket so it
12 will become public.

13 So with those few administrative
14 notes, I guess I would like to formally begin.
15 And in doing so, I'd like to welcome Mr.
16 Joseph Merenda, who is Director of the Office
17 of Science Coordination and Policy for the
18 EPA.

19 Good morning, Joe.

20 DR. MERENDA: Thank you, Dr.
21 Heeringa. Good morning and welcome.

1 Taking the cue from Dr. Heeringa, my
2 name is Joe Merenda for the U.S. Environmental
3 Protection Agency. And it is my pleasure this
4 morning to welcome Panel members and members
5 of the public to the FIFRA Science Advisory
6 Panel.

7 On behalf of EPA, let me express our
8 great appreciation to all of you who have
9 volunteered to serve on this Science Advisory
10 Panel. The availability to EPA of independent
11 external expert scientific advice is critical
12 to our ability as an agency to meet our
13 objectives of using high-quality science in
14 making our programmatic and regulatory
15 decisions. And it's also important for us to
16 do so in a public and transparent manner. And
17 that is the key things that these types of
18 advisory committee meetings are all about, to
19 bring key scientific issues out into the open
20 and get the best advice that the Agency can as
21 we move forward with our programs.

19

1 This is going to be a challenging
2 set of issues. We never bring the easy ones
3 to the FIFRA Science Advisory Panel. But I'm
4 sure you are all up to that challenge. And I
5 look forward to some very thorough and
6 intensive discussions over the next couple of
7 days.

8 Thank you and welcome.

9 DR. HEERINGA: Thank you, Mr.
10 Merenda.

11 At this point in allergic contact
12 dermatitis, I'd like to also introduce Mr. Jim
13 Jones who is Director of the Office of
14 Pesticide Programs at the EPA.

15 MS. JONES: Thank you, Dr. Heeringa.
16 And I will also add to Joe's thanks to the
17 permanent members of the SAP as well as the ad
18 hoc members who are joining us over the next
19 couple of days on these very challenging
20 issues.

21 To reinforce what Dr. Heeringa said,

20

1 we have gathered all of you here over these
2 couple of days to focus on the scientific
3 issues that we're going to be putting before
4 all of you as it relates to determine
5 sensitization, in particular as it relates to
6 determine sensitization to chromium.

7 I would like to give you a little
8 bit of the context within which we're
9 operating so you understand how the science
10 that you're going to be discussing with us and
11 amongst each other will ultimately fit into
12 the regulatory decision-making issue that we
13 have before all of us at the Agency right now.

14 Many of you may be aware that one of
15 the principal, if not perhaps the principal,
16 wood preservatives used for residential uses
17 in the United States, referred to as CCA, was
18 voluntarily canceled. That cancellation
19 became effective December 31 of last year,
20 2003. There are a number of alternative
21 products that are currently registered either

21

1 copper-based or chromium-based products that
2 are available for use. And the Agency has
3 before it an application for registration of a
4 product where its principal component is
5 chromium and has a degree of chromium greater
6 than we had seen in the CCA products. And we
7 are in the process at the Agency of analyzing
8 the risks and the benefits of this product
9 that's before us.

10 The issues that we are talking about
11 here today as it relates to the hazards
12 associated with chromium, in particular as it
13 relates potentially to determine
14 sensitization, will ultimately that advice
15 will be used by the Agency in finalizing our
16 hazards characterization around chromium.

17 Of course, there are other hazards
18 associated with chromium. Those are issues
19 that we have vetted with other SAPs and
20 internally and feel pretty confident around
21 our assessments there. There certainly are

22

1 exposure issues. And we've been working to
2 get a better understanding of the exposure
3 issue with other parts of the Agency with the
4 registrant of this product. And so I think
5 that we have a general path forward on
6 understanding the exposure issues associated
7 with the product before us.

8 What we are talking with all of you
9 about is this one aspect of the hazard of
10 chromium. And it is after we get the advice
11 of this Panel. And, again, we will come to
12 our final conclusions as it relates to that
13 part of the hazard. We will then take that
14 information, along with other endpoints as it
15 relates to chromium, the exposure as it
16 relates to the proposed use in front of us;
17 and we will ultimately make a decision.

18 In the licensing arena, that's the
19 arena that we work in here in the pesticides
20 program, we license pesticide products. A
21 product cannot be used in the United States

23

1 unless we license it for that use. We refer
2 to that as "registration." There is no such
3 thing as no decision. You either get a
4 license or you don't. And if you don't get a
5 license, you can't sell the product. If you
6 do get a license, you can sell the product.

7 So we are faced with making a
8 decision around this issue. And we will be
9 making a decision in relatively short order.
10 A decision that won't be made until after we
11 have gotten the advice of this Panel and some
12 additional information that we're working on
13 as it relates to exposure; but a decision will
14 be made by the Agency in the coming months.

15 So I just wanted to give you some
16 sense of the degree to which the advice that
17 you'll be providing to us, not only over the
18 next two days but in the final report that we
19 get from the Panel, how that will fit into a
20 regulatory decision-making process within the
21 Agency.

24

1 I look very much forward to the next
2 couple of days. I think we'll have an
3 interesting exchange and to the ultimate
4 receipt of the report from this Panel.

5 Thank you.

6 DR. HEERINGA: Thank you very much,
7 Mr. Jones, for providing that context. It's
8 very, very useful.

9 At this point in allergic contact
10 dermatitis, I think we're ready to begin our
11 initial scientific presentations from the
12 research staff of the Environmental Protection
13 Agency. And the first scheduled presenter is
14 Dr. Timothy McMahon, who is of the Office of
15 Pesticide Programs. And he's going to be
16 presenting on Proposed Hazard Identification
17 Methodology for Assessment of Dermal
18 Sensitization of Risk.

19 Dr. McMahon.

20 DR. MCMAHON: Thank you, Dr.
21 Heeringa.

1 Good morning, Mr. Chairman, members
2 of the Panel, ladies and gentlemen. I am Dr.
3 Timothy F. McMahon, Senior Toxicologist in the
4 Antimicrobials Division, Office of Pesticide
5 Programs. I am here with my colleague Dr.
6 Jonathan Chen of the Antimicrobials Division
7 as well to present a set of issues related to
8 proposed hazard identification methodology for
9 quantification of dermal sensitization.

10 Specifically, the Agency is
11 interested in developing the foundation of a
12 scientifically sound approach to quantitative
13 assessment of dermal sensitization to
14 pesticide chemicals, including pesticide
15 chemicals that are incorporated into other
16 materials, that is, treated articles.

17 The information presented today is
18 derived from several published articles in
19 peer-reviewed scientific journals and books.
20 Where appropriate, reference is also made to
21 publicly available publications from the USEPA

1 and state regulatory agency publications.

2 The outline of my presentation will
3 be as follows: I will present the current
4 regulatory approach in the Office of Pesticide
5 Programs with regard to assessment of dermal
6 sensitization and will then present a brief
7 overview of the biology of dermal
8 sensitization.

9 Following this, I will present
10 methods currently proposed for estimation of
11 safe area doses for protection against
12 induction of sensitization and for protection
13 against elicitation of sensitization reactions
14 in sensitized individuals.

15 Areas of scientific uncertainty that
16 need to be considered in such approaches will
17 then be presented including available data on
18 relative sensitivity of children vs. adults.

19 After my general presentation,
20 hexavalent chromium as a case study will be
21 presented by Dr. Chen, including the available

27

1 hazard data that estimates safe area doses for
2 protection against induction and elicitation
3 of dermal sensitization to hexavalent
4 chromium.

5 Before I begin, I would first like
6 to acknowledge the assistance of several of my
7 colleagues at USEPA, including from the Office
8 of Pesticide Programs Norm Cook, Nader
9 Elkassabany, Tim Leighton, Bill Jordan, and
10 Winston Dang; from the Office of Research and
11 Development, Denise Sailstad; from the Office
12 of Solid Waste and Emergency Response, Michele
13 Burgess and Lee Hoffman; from the Office of
14 Science Coordination and Policy, Joseph
15 Merenda, Jr., and Karen Hamerneck; and from
16 the Office of Water, Nancy Chu.

17 Under the current regulatory
18 approach in the Office of Pesticide Programs,
19 40 CFR 798.4100 states that: "Information
20 derived from tests for skin sensitization
21 serves to identify the possible hazard to a

1 population repeatedly exposed to a test
2 substance."

3 Hazard in this approach is defined
4 by the results of the currently accepted
5 dermal sensitization tests, which include the
6 Buehler test, the maximization test, and, more
7 recently, the murine Local Lymph Node Assay.

8 These tests serve to identify
9 whether a pesticide chemical is capable of
10 causing an allergic contact dermatitis in
11 exposed experimental animals and primarily
12 give a "yes/no" answer to the question
13 although we will see later that the Local
14 Lymph Node Assay has been proposed for
15 additional uses in determination of dermal
16 sensitization hazard.

17 Other government agencies have been
18 found to use a similar approach under current
19 regulatory schemes. The U.S. Food and Drug
20 Administration under FFDCA Section 601 with
21 respect to cosmetics prohibits distribution of

1 cosmetics in interstate commerce which are
2 adulterated or misbranded. A cosmetic is
3 considered adulterated if it contains a
4 substance which may makes the product harmful
5 or injurious to consumers under customary
6 conditions of use, including the potential for
7 dermal sensitization. Under such
8 circumstances, if tests are needed, classical
9 animals tests or in vitro alternative tests
10 are used.

11 With respect to topically applied
12 drugs, the FDA, in published guidance, cites
13 the Buehler and guinea pig maximization tests
14 as reliable assays for determining
15 sensitization potential; and the LLNA is cited
16 as a quantitative rather than essentially
17 subjective test.

18 The Consumer Products Safety
19 Commission under 1500.3(b)(9), states that
20 "Before designating any substance as a strong
21 sensitizier, the Commission, upon consideration

1 of the frequency of occurrence and severity of
2 the reaction, shall find that the substance
3 has a significant potential for causing
4 hypersensitivity." To determine whether the
5 substance is a "strong" sensitizer, the CPSC
6 will include, among other factors, "the result
7 of experimental assays in animals or humans,
8 considering dose-response factors, with human
9 data taking precedence over animal data."

10 With respect to pesticides, when a
11 chemical is found to be a sensitizer using
12 current testing methods, a qualitative
13 assessment is performed. Occupational dermal
14 exposures can be dealt with appropriately
15 either through engineering controls or use of
16 personal protective equipment. Non-
17 occupational exposures can normally be dealt
18 with through appropriate precautionary
19 labeling statements.

20 It has become apparent in recent
21 years, however, that this approach may not

1 always be adequate. For the agricultural
2 herbicide trifluralin, for example, dermal
3 sensitization was recognized as an adverse
4 effect for which the Health Effects Division's
5 Hazard Identification Assessment Review
6 Committee recommended that the Local Lymph
7 Node Assay be used to define a NOAEL and allow
8 quantification.

9 There also exists the manufacture of
10 treated articles of substances in which a
11 registered pesticide is incorporated into the
12 article to protect the integrity of the
13 article of substance itself such as paint
14 treated with a pesticide to protect the paint
15 coating or wood products treated to protect
16 the wood against fungal or insect decay.

17 Under such circumstances of use, the
18 general public may unknowingly be exposed to
19 pesticide chemical in the treated article.
20 Therefore, prior to such use, the pesticide
21 chemical must be registered under FIFRA, which

1 requires that the manufacturer of the
2 pesticide demonstrate that it can be used
3 without unreasonable risks to humans or the
4 environment.

5 Treated articles such as preserved
6 wood however, do not bear a pesticide label or
7 effectively use other communication methods to
8 inform and protect people against potential
9 hazards, including the potential for dermal
10 sensitization.

11 This brings us to the purpose of
12 today's consultation. EPA's Office of
13 Pesticides Programs is seeking expert advice
14 on how to evaluate general population exposure
15 to a pesticide that is recognized to cause
16 dermal sensitization. Specifically, the
17 Agency is interested in better understanding
18 how such exposures may induce sensitization in
19 the general population and how to establish
20 criteria to protect against unacceptable
21 dermal reaction. The Agency is also seeking

1 guidance from the SAP on how such exposures
2 impact individuals already sensitized.

3 A brief overview of allergic contact
4 dermatitis -- this is also known as contact
5 hypersensitivity, contact allergy, or delayed
6 contact hypersensitivity -- has been defined
7 by Marzulli and Maibach as "a delayed,
8 immunologically mediated, inflammatory skin
9 disease consisting of various degrees of
10 erythema, edema, and vesiculation."

11 Kimber has also defined
12 sensitization as "stimulation by chemical
13 allergen in an inherently susceptible
14 individual of an immune response of the
15 quality and vigor required to permit the
16 provocation of an elicitation reaction upon
17 subsequent encounter with the same chemical."

18 Allergic Contact Dermatitis is
19 usually characterized by two phases which we
20 term induction and elicitation or challenge.

21 Induction is defined as an exposure

1 of sufficient magnitude and or duration to
2 activate a specific immune mechanism resulting
3 the acquisition of sensitization, whereas
4 elicitation or challenge is defined as
5 responses in dose to the sensitized
6 individuals upon exposure to the allergen by a
7 relevant route.

8 When we compare dermal irritation
9 with sensitization, we see two main important
10 differences, primarily the delayed nature of
11 the response in allergic contact dermatitis as
12 the requirement for immune memory.

13 To be capable of inducing an
14 allergenic response, the chemical itself must
15 possess certain characteristics. Those
16 chemicals able to cause sensitization are
17 usually low molecular weight protein-reactive
18 substances that can gain access to the viable
19 epidermis via the stratum corneum, and are
20 also able to cause sufficient local trauma to
21 induce cutaneous cytokines and be inherently

35

1 antigenic and recognized by responsive T
2 lymphocytes.

3 This schematic shows you the basic
4 biology of contact hypersensitivity. On the
5 left, illustrating induction phase. Once
6 through the stratum corneum, the allergen
7 makes contact with the Langerhans cell, a
8 member of the bone-marrow derived dendritic
9 cell family whose function is to act as a
10 sentinel cell and serve as a trap for antigens
11 entering the skin.

12 Langerhans cells then direct the
13 allergen to a regional lymph node, where
14 interaction with T lymphocytes occurs,
15 followed by proliferation of lymphocytes that
16 have been primed to react against the
17 presented antigen.

18 A subsequent contact with the
19 allergen as shown on the right will result in
20 elicitation of the sensitization response due
21 to the reaction of sensitized lymphocytes with

1 the allergen.

2 It is worth mentioning here that, in
3 addition to Langerhans cells, epidermal
4 cytokines and chemokines may also play a role
5 in the development of the sensitization
6 response. This is based on the observation
7 that the functional activity of Langerhans
8 cells, and presumably other cutaneous antigen-
9 presenting cells, is regulated largely by the
10 availability of cytokines.

11 Although allergic contact has been
12 characterized as a threshold type of response,
13 that is, below a certain concentration that
14 would not be expected to occur, thresholds are
15 largely determined by the potency of the
16 allergen, and induction/elicitation thresholds
17 vary among individuals.

18 Dose-response relationships are also
19 observed for both the induction and
20 elicitation phases and thresholds for
21 induction can be reached following either a

37

1 single sufficiently high amount of exposure to
2 the allergenic chemical, or after contact with
3 large areas of skin, or as a consequence of
4 repeated skin applications.

5 In some cases, such as with the
6 sensitizer 2,4-dinitrochlorobenzene, a single
7 contact can be sufficient for sensitization;
8 and some data suggest that sensitizing
9 potential may increase with repeated
10 exposures.

11 I would like to present an overview
12 of methods for hazard assessment of dermal
13 sensitization.

14 The classical animal tests for
15 dermal sensitization that have found wide use
16 are the maximization test and the Buehler
17 test, both usually performed using guinea
18 pigs. This slide illustrates the basic study
19 design of each type of assay.

20 The guinea pig maximization test
21 uses intradermal injection with and without

1 FCA for induction followed on days 5 to 8 by
2 topical induction/irritation, followed again
3 by topical challenge on days 20 to 22.

4 Readings are made at 24 hours after the
5 challenge dose and then again at 48 hours.

6 The Buehler test uses topical
7 administration via closed patch on the shaved
8 flank for induction on days 0, 6 to 8, and 13
9 to 15. Challenge is made on the untreated
10 flank for 6 hours on day 27 to 28 and readings
11 made at 24 and 48 hours post-challenge.

12 The Buehler test and the
13 maximization test are best suited for
14 providing a yes/no answer to whether a
15 substance is a sensitizer or not. The local
16 lymph node assay is a more recent test method
17 for assessing the allergic contact dermatitis
18 potential of chemicals, specifically the
19 induction phase of sensitization.

20 The LLNA measures the incorporation
21 of H-methylthymidine or iododeoxyuridine into

1 proliferating lymphocytes in the draining
2 auricular lymph nodes of mice following the
3 topical application of the chemical as shown.
4 The assay compares the mean disintegrations
5 per minute from the test group to the control
6 group to give a stimulation index or SI.

7 From the data, it is possible to
8 estimate the concentration of test chemical
9 required to give an SI of 3. This estimated
10 concentration is known as the EC3 value. An
11 SI of 3 or greater is considered evidence in
12 this assay that the chemical is a sensitizer.

13 As an alternative to the traditional
14 testing that LLNA provides potential for
15 determining NOAEL, the use of fewer animals,
16 the evaluation of induction phase provides a
17 biological basis for the endpoint of concern.
18 And now it also provides extensive assay data
19 available for the test.

20 In 1999, the Interagency
21 Coordinating Committee on the Validation of

1 Alternative Methods Immunotoxicity Working
2 Group recommended the LLNA as a stand-alone
3 alternative for contact sensitization hazard
4 assessment provided that certain protocol
5 modifications were made. At that time, the
6 ICCVAM IWG considered that the LLNA was not
7 appropriate for certain classes of chemicals,
8 including metals, strong irritants, and
9 aqueous soluble materials.

10 Following additional studies, the
11 FIFRA SAP in 2001 agreed with the Agency
12 proposal that the LLNA was applicable for
13 testing chemicals to elicit contact
14 sensitization and should be considered a
15 preferred, stand-alone assay. The SAP also
16 notes that expanding application of the LLNA
17 to metals, strong irritants, and aqueous
18 soluble material should be considered based on
19 additional evidence published since the 1999
20 ICCVAM peer review.

21 Now I'd like to talk a little bit

41

1 about methods for determination for induction
2 thresholds.

3 Approaches for determination of
4 quantitative assessment of sensitization
5 induction thresholds have been published,
6 proposed in the scientific literature using
7 LLNA data like Gerberick and Griem. As
8 reviewed by Felter in 2003 and Gerberick in
9 2001 proposed a methodology for determination
10 of a sensitization reference dose for
11 sensitizers in consumer products.

12 This method employs the same
13 fundamental concepts of a risk assessment
14 including hazard identification, dose response
15 assessment, exposure assessment, and risk
16 characterization. Hazard is first identified
17 performed using results of laboratory animal
18 tests such as the LLNA, structure-activity
19 relationships, or the results of human
20 experience.

21 Once the hazard is adequately

1 identified, a dose-response assessment is
2 performed using a weight-of-evidence approach
3 in which chemicals are categorized into
4 potency classes. Specific NOAEL values are
5 not applied in this paradigm, as data are not
6 always sufficiently robust to identify a NOAEL
7 with a high degree of confidence, thus the use
8 of potency categories shown in the next slide.

9 For each potency category, a default
10 NOAEL, as shown on the right, is assigned.
11 The lower boundary of the potency category for
12 a sensitizing chemical is then used as the
13 starting point.

14 The application of uncertainty
15 factors is then applied to account for
16 intraspecies variation vehicle product matrix
17 effects and exposure considerations. A
18 maximum uncertainty factor for each area is 10
19 of the maximum total uncertainty of 1000.

20 Calculation of a Sensitization
21 Reference Dose is then made with comparison to

1 exposure estimates to determine a margin of
2 safety. This approach has been applied to
3 consumer products containing fragrance
4 chemicals that have contact sensitization
5 potential for determination of safe levels in
6 the product.

7 Although the approach assesses the
8 hazard of induction of allergic contact
9 dermatitis, the same approach is proposed for
10 application to elicitation if the threshold
11 for elicitation is known or a factor for
12 converting an indication threshold to an
13 elicitation threshold is used. We will see
14 later that Griem et al. have employed a
15 similar concept for calculation of safe area
16 doses for elicitation thresholds.

17 In 2003, Griem published a paper
18 proposing an approach of deriving a safe area
19 dose skin dose for induction based on the use
20 of LLNA data. He made a comparison between
21 EC3 values from LLNA tests with NOAEL or LOAEL

1 values from human repeat insult patch tests or
2 human maximization tests for approximately 30
3 known human chemical sensitizers.

4 Comparison of the molar area doses
5 causing induction showed a good correlation;
6 therefore, it was proposed that the EC3 values
7 could be used as a surrogate for human NOAEL
8 values and thus as a starting point in
9 quantitative risk assessment.

10 As shown here from the published
11 paper, comparison of molar area doses between
12 LLNA tests and human test results showed a
13 fairly good correlation. And as I said,
14 therefore, the EC3 values were proposed as
15 surrogate values for use as a starting point
16 in the risk assessment.

17 Uncertainty factors were then
18 applied for interspecies extrapolation,
19 intraspecies variation, and to account for
20 possible higher inducing potency of a chemical
21 upon repeated exposure. The LLNA EC3 value

45

1 was then divided by the total uncertainty
2 factor of 300 to obtain a safe area dose which
3 should not induce sensitization the vast
4 majority of humans.

5 Combined with a reasonable exposure
6 assessment, the concept was proposed to lead
7 to derivation of acceptable concentrations for
8 sensitizing chemicals in the workplace, in
9 cosmetics, and in household products.

10 And now I'd like to go through some
11 proposed methods for determination of
12 elicitation thresholds. Methods have also
13 been proposed for determination of
14 concentrations or safe area doses for
15 protection against elicitation in sensitized
16 individuals. By inference, protection against
17 elicitation would also be protective of
18 induction as thresholds for induction are
19 generally higher than those for elicitation.

20 Griem in the same publication in
21 2003 proposed an approach for estimation of

1 safe area doses for elicitation on the
2 assumption that a correlation between the
3 induction potency and elicitation potency of a
4 chemical could be established. As several of
5 the factors that influence induction of
6 sensitization, such as skin penetration,
7 uptake by antigen-presenting cells, and
8 metabolism, are also relevant for elicitation.

9 However, a comparison of induction
10 and elicitation area doses from limited data
11 in humans showed that while induction
12 threshold doses spanned five orders of
13 magnitude, values for elicitation were mainly
14 within one order of magnitude. I'm showing
15 the poor correlation obtained there on this
16 slide from his publication.

17 So, therefore, relevance for
18 assessing the elicitation was the ratio of
19 induction to elicitation threshold a linear
20 correlation was described to relationship
21 between the log transformation of the

1 induction elicitation threshold ratio and the
2 log transformation threshold.

3 Based on this using it was proposed
4 that the induction elicitation threshold ratio
5 can be predicted on the basis of an
6 established induction threshold. And showing
7 the log transformation of that linear
8 correlation here with the equation describing
9 that relationship.

10 So when based on this publication
11 and based on the EC3 induction threshold from
12 the local lymph node assay, a total
13 uncertainty factor of 300 was proposed, a 3x
14 for inter species, a 10x for intraspecies, and
15 a 10x for repeated exposures. And the
16 proposal was based on a NOAEL or LOAEL from
17 the one-time human patch test or sensitization
18 potency from the local lymph node assay a
19 total uncertainty factor could range from 100
20 to 1000 plus the inclusion of a variable
21 uncertainty factor based on the linear

48

1 correlation as shown on the previous slide.

2 As one example from Griem's public
3 comment for the EC3 value that he wrote of 8.8
4 microgram per square centimeter he applied
5 uncertainty factors of 1x for interspecies,
6 10x for intraspecies, 10x for repeated
7 exposure and 15x induction elicitation factor
8 of a total uncertainty factor of 1500 for
9 determination of a safe area dose of 0.006
10 micrograms per square centimeter.

11 Similarly, from a benchmark value of
12 0.05 microgram per square centimeter from
13 human data, uncertainty factors were applied
14 for interspecies 10x, 3x for repeated exposure
15 for a total uncertainty factor of 30 in the
16 derivation of a safe area dose is 0.002
17 micrograms per square centimeter.

18 An additional proposed approach for
19 determination of safe area doses for
20 elicitation is the concept of the Minimum
21 Elicitation Threshold or MET. This is based

1 on the notion that there is an elicitation
2 threshold below which no sensitization
3 reaction is expected.

4 The estimation of a MET is usually
5 based on the results of tests in previously
6 sensitized individuals; thus, it is considered
7 protective of elicitation reactions. However,
8 there has not been an extensive discussion of
9 the criteria for employing this concept for
10 purposes of risk assessment.

11 It is not certain what level of
12 elicitation in a study population constitutes
13 a valid hazard criterion. Moreover, it is not
14 certain that the MET can be applied to all
15 sensitizers.

16 I'd like to now go through a brief
17 discussion of some of the uncertainty factors
18 that are applied in these proposed approaches.
19 Areas of uncertainty include interspecies,
20 intra-species variations, product matrix
21 effects; and exposure considerations such as

50

1 area of the body exposed and repeated
2 exposures.

3 For interspecies extrapolation this
4 uncertainty factor is intended to account for
5 differences in response from animals to
6 humans. As reported by Griem, in sensitizing
7 area, doses are similar for murine LLNA in
8 human data; therefore, the interspecies factor
9 in his proposal may be less than 10. But not
10 all proposals use this factor.

11 Felter recognized this factor but
12 also recognizes that the murine LLNA has not
13 been yet used for derivation for a NOAEL for
14 use in quantitative assessment and therefore
15 relies on a default categories as a
16 conservative approach. Intra-species
17 variation is a 10x factor based on age, sex,
18 and genetic makeup.

19 For product matrix effects, a range
20 of 1 to 10 is proposed to account for the
21 exposure to the contact allergen in the

1 product matrix vs. results from experimental
2 studies which typically is simple vehicles as
3 various components of the product may effect a
4 sensitizing potency of the allergen. But
5 smaller factors may also be considered for
6 mild formulations.

7 With respect to exposure variables,
8 a proposed factor ranging from 1 to 10x was
9 proposed to account for things such as site of
10 body exposed, the effects of occlusion, and
11 environmental conditions such as temperature,
12 humidity, and repeated exposures.

13 Consideration should be given to
14 whether there are potentially susceptible
15 subpopulations who may be more susceptible to
16 the induction and/or elicitation of allergic
17 contact dermatitis. In addition, children's
18 susceptibility also needs to be considered in
19 determining populations potentially at risk.

20 Paustenback addressed the issue
21 specifically for hexavalent chromium, and

1 concluded that risk to children ages 3 to 8 is
2 not likely to be greater than risk to adults
3 as there is no evidence that repeated
4 exposures to hexavalent chromium places a
5 person at greater risk of sensitization.

6 Felter suggested that infants and
7 children may actually be at lower risk for
8 development of allergic contact dermatitis
9 based on data gathered from
10 dinitrochlorobenzene, a poison ivy allergen,
11 which showed less susceptibility to induction
12 in infants and children compared to adults.

13 In contrast, a publication by Wohrl
14 et al. in 2003 compiled patch test results in
15 2,766 patients suspected of contact allergy
16 carried out over approximately 4 years at an
17 allergy clinic in Vienna, Austria. Of 79
18 children aged 1 to 10 years that were part of
19 this compilation, the general elicitation rate
20 shown here showed the highest percentage
21 response in the 1 to 10 year old age group

53

1 with an age-related decline.

2 However, the elicitation rate for
3 some contact sensitizers, as shown in the next
4 slide, such as hexavalent chromium showed no
5 significant difference in percentage response
6 with age.

7 This concludes my general
8 presentation. Thank you.

9 DR. HEERINGA: Thank you very much,
10 Dr. McMahon.

11 At this point before we move on to
12 Dr. Chen's, I would like to give the members
13 of the panel a chance to ask questions of
14 clarification or information of Dr. McMahon.

15 Are there any questions based on
16 this presentation?

17 Very well. Everything was quite
18 clear. One more time.

19 We're a little ahead of schedule,
20 but I think we can move on to the next
21 presentation. And I'd like to introduce at

54

1 this point Dr. Jonathan Chen of the Office of
2 Pesticide Programs. And he's going to be
3 dealing specifically with the case study of
4 Cr(VI) in Wood Preservatives.

5 DR. CHEN: Thank you.

6 Mr. Chairman, Honorable Panel
7 members, Ladies and Gentlemen, my name is
8 Jonathan Chen. And I am a toxicologist with
9 the Antimicrobials Division in the Office of
10 Pesticide Programs.

11 In the following section, we are
12 going to use chromium wood preservatives as a
13 case study to address the proposed Hazard
14 Assessment for Dermal Sensitization.

15 Before we discuss the hazard
16 assessment issue, I would like to review some
17 general properties of chromium.

18 Chromium is present in the
19 environment in several different forms. The
20 most common forms are chromium, trivalent or
21 Cr(III), and hexavalent or Cr(VI).

1 Cr(III) occurs naturally in the
2 environment and is an essential nutrient
3 required by the human body to promote the
4 action of insulin in body tissues so that
5 sugar, protein, and fat can be used by the
6 body. Cr(VI) and Cr(0) are generally produced
7 by industrial processes.

8 The trivalent chromium compounds are
9 generally insoluble in water. In contrast,
10 most Cr(VI) compounds are readily soluble in
11 water. The hexavalent chromium compounds are
12 reduced to the trivalent form in the presence
13 of oxidizable organic matter.

14 Cr(VI) is used as a component of
15 wood preservatives. For example, CCA and
16 ACC. CCA, the chromated copper arsenate wood
17 preservative, contains chromium, copper, and
18 arsenic as pesticidal compounds to protect
19 wood from deterioration.

20 There are three formulations of CCA,
21 each containing varying ratios of arsenic

1 pentoxide, chromic acid, and cupric oxide.

2 CCA-type C was the most commonly
3 used formulation for pressure treating lumber
4 for residential applications.

5 ACC, acid copper chromate, is a
6 liquid formulation that contains 50% active
7 ingredients including copper and chromium and
8 50% dilutents such as water. ACC is another
9 chromated wood preservative.

10 In the wood industry, the chromated
11 wood preservatives are used to treat wood with
12 high pressure. The wood preservatives are
13 pressed into the space between wood fibers.
14 Once being pressure-treated into wood, ACC
15 would contain 50% more chromium compared with
16 the wood treated with CCA-type C solution.

17 In the treatment process, the
18 chromium will penetrate into the wood and
19 become bound or fixed in the wood. The term
20 fixation refers to the series of chemical
21 reactions that take place after the wood has

1 been pressure-treated. The primary reaction
2 is to turn Cr(VI) into Cr(III) and bind to
3 wood fiber and other ingredients including
4 copper and/or arsenic.

5 There are many factors that can
6 affect the degree of fixation. For example,
7 the condition time, the temperature, the
8 moisture content of the wood, the
9 concentration of the wood preservatives, the
10 type of wood, etc. Among all these
11 parameters, temperature is considered as one
12 of the most important factors. CCA fixation
13 is a highly temperature-dependent event. Many
14 investigators have demonstrated that fixation
15 can be accelerated at higher ambient
16 temperature.

17 For CCA, research indicates that
18 fixation may range from more than 6 months at
19 4 degree C to about one hour at 90 degree C.
20 In general, when the wood was kept at a
21 freezing temperature, the fixation step will

58

1 stop.

2 The concentration of reactants is
3 also important. When the concentration of the
4 reactants increase, the fixation time will
5 increase. Therefore, ACC would take more time
6 than CCA to fix into the pressure-treated
7 wood.

8 Why fixation is important. Research
9 indicates that Cr(VI) may leach to wood
10 surface when the fixation process is complete.

11 Cr(VI) is considered one of the most
12 common and potent contact sensitizers.
13 Exposure occurs in a number of occupational
14 settings, and nonoccupational exposures also
15 occur.

16 In the year 2001, the OPP Hazard
17 Identification Assessment Review Committee,
18 (HIARC), evaluated the Cr(VI) database and
19 concluded that: "The potent skin
20 allergenicity of chromium has been well
21 documented in the literature, and chromium

1 compounds have been reported to be the most
2 frequent sensitizing agent in man.

3 Most of the occurrences of contact
4 dermatitis cited are the result of
5 occupational exposures. For previously
6 sensitized individuals, very low dosage of
7 Cr(VI) can elicit allergic contact dermatitis.
8 No end point will be selected for risk
9 assessment. The risk concern of the dermal
10 contact of Cr(VI) should be addressed through
11 warning language used on the labels."

12 However, OPP's current concern is
13 that for pesticide chemicals that are in
14 consumer products, some of which are treated
15 articles without a chance to include label
16 warnings.

17 Therefore, the issue has been
18 discussed in the 2001 SAP meeting held for
19 "Preliminary Evaluation Of The Non-Dietary
20 Hazard And Exposure to Children From Contact
21 With Chromated Copper Arsenate Treated Wood

1 Playground Structures And Contaminated Soil."

2 "The Panel advised that EPA should
3 base risk assessments for noncancer health
4 effects of dermal exposure to hexavalent
5 chromium on direct dermal effects, irritant,
6 and allergic contact dermatitis. The Panel
7 was unable to provide EPA with methods for
8 establishing endpoints and determining dose
9 response relationships for these effects."

10 This is the reason the Agency is
11 using the Cr(VI) in the wood preservative as
12 the case study for the quantitative risk
13 assessment for dermal sensitization.

14 Before we discuss the issue, I would
15 like to mention the term CCDS. CCDS stands
16 for the Concentration of Concern for Dermal
17 Sensitization. In other words, the Agency
18 would consider that, when the concentration of
19 the chemical causing dermal sensitization is
20 below the CCDS, it is not likely to start the
21 dermal sensitization reaction toward the

61

1 concerned population.

2 There are two types of CCDS we need
3 to be concerned about for allergic contact
4 dermatitis issue. The first one is CCDS for
5 induction phase, and the second one is CCDS
6 for the elicitation phase.

7 Murine LLNA data were proposed to
8 determine the CCDS for the induction phase of
9 allergic contact dermatitis. The LLNA data
10 (EC3 values) for hexavalent chromium using
11 potassium dichromate as the test substances
12 from five different laboratories were reported
13 by Kimber et al. in 1995.

14 There are two different proposed
15 approaches to establish the appropriate
16 concentration for Dermal Sensitization CCDS.
17 the first one is Griem et al. (2003) methods,
18 and the second one is Gerberick et al.
19 proposed in the 2000, 2001.

20 Let us discuss the Griem et al.
21 approach first. According to Griem et al.

1 2003, when risk assessment is based on the EC3
2 LLNA value, a factor of 3 is proposed as
3 interspecies uncertainty factor to account for
4 experimental variability. In general, a
5 factor of 10 is suggested to account for
6 intraspecies variation.

7 There is another safety factor that
8 has been proposed by Griem et al. in the year
9 2003. Dermal sensitization in many cases may
10 need more than one exposure to start the
11 reaction. To address the concern, a safety
12 factor of 10 has been suggested. The proposed
13 repeated exposure uncertainty factor would be
14 10.

15 Respectively for the five studies
16 with an average CCDS based on the U.S.
17 Laboratories data would be 0.038 ug/cm^2 and
18 the general CCDS for induction phase for
19 Cr(VI) would be 0.034 ug/cm^2 based on the
20 Griem's approach.

21 Now, let's discuss Gerberick's

1 approach. Gerberick et al. in the year 2000,
2 2001, proposed a methodology for determination
3 of a sensitization reference dose for
4 sensitizers in consumer products. The lower
5 boundary of other potency category for a
6 sensitizing chemical is used as the No
7 Observable Adverse Effect Level, NOAEL.

8 For example, if the LLNA EC3 value
9 is greater than 10,000 (ug/Cm^2), then this
10 chemical is classified as an extremely weak
11 dermal sensitizer and would use 10,000 ug/Cm^2
12 as the default NOAEL in the hazard assessment
13 process.

14 For a chemical a causing LLNA EC3
15 value of 69 ug/Cm^2 , the 69 ug/Cm^2 would locate
16 between the range of 10-1,000 category;
17 therefore, it is considered as a strong dermal
18 sensitizer. It would use 10 ug/Cm^2 as the
19 default NOAEL in the hazard assessment.

20 Therefore, the NOAEL defined for the
21 five LLNA studies are determined to be 1, 10,

1 10, 10, and 10 ug/Cm² based on the Gerberick's
2 approach.

3 Gerberick set the maximum
4 uncertainty factor as 1000. For dermal
5 sensitization according to Gerberick, there is
6 no great differences between the mouse and the
7 human data. Therefore, an interspecies
8 uncertainty factor of 1 is proposed. An
9 uncertainty factor of 10 is suggested to
10 account for intraspecies variation.

11 Because the Cr(VI) leaches to the
12 wood surface, it would be in the liquid state
13 and direct dermal contact would be the primary
14 concern. Therefore, a matrix uncertainty
15 factor of 10 is set for this purpose.

16 An exposure consideration
17 uncertainty factor of 10 was used to cover the
18 potential differences in site of the body
19 exposed, the integrity of the skin, potential
20 for mucosal contact, occlusion, and
21 environmental conditions. Based on this, the

1 average CCDS for the induction phase is 0.01
2 based on Gerberick's approach.

3 Let us discuss the CCDS for
4 elicitation phase. Calculations of CCDS for
5 the elicitation phase were performed using
6 both human study data and murine LLNA data.

7 There are three human studies that
8 are considered for the determination of the
9 CCDS for the elicitation phase: The
10 Nethercott study in 1994; Hansen et al. in
11 2003, and Basketter et al. in 2001.

12 In the Nethercott 1994 study, 100
13 possible volunteers selected from examination
14 of 6000 patient files from dermatologists.
15 Eventually, 102 took part in the study. All
16 were believed to be Cr(VI) sensitized based on
17 previous patch tests performed by their
18 physicians.

19 There are three rounds of testing
20 included in the study. In Round 1, patch test
21 with 4.4. ug of Cr (VI)/cm² to verify

1 sensitization. Those responding positively
2 moved on to the Round 2.

3 In the Round 2, patch testing with
4 0.108 and 0.088 ug/Cr(VI)/cm² and full
5 concentrations of Cr(III). Those showing
6 positive responses to the Cr(VI) were not
7 tested in Round 3. Only those that did not
8 respond were moved on to the Round 3.

9 In the Round 3, the negative
10 responders in Round 2 were tested with Cr(VI)
11 concentrations of 0.18 and 0.88 ug/cm².

12 In the study, the patch test results
13 indicates there is one volunteer showing
14 positive response at the lowest tested
15 concentration 0.019 ug/cm². There are four
16 volunteers showing positive response at 0.088
17 ug/cm². The cumulative response would be 9%
18 positive response at 0.08 ug/cm².

19 Therefore, from this study, a 10%
20 minimum elicitation threshold of 0.089 ug/cm²
21 was reported. However, the lowest dose

1 tested, 0.018 ug/cm², also showed a response.

2 Now let us take a look at the Hansen
3 et al. 2003 study. The purpose of the study
4 is to compare the 10% MET values for Cr(III)
5 and Cr(VI) in the Cr(VI) sensitive patients.

6 In the study, 18 volunteers
7 confirmed to be Cr(VI) sensitized, patch
8 testing with a Finn Chambers with serial
9 dilutions of Cr(VI) and Cr(III). There are
10 around 20 patches tested at the same time.

11 Using a dose-response curve, the 10%
12 MET for Cr(VI) was determined to be 0.03
13 ug/cm² that equals 1 ppm). The 10% MET for
14 Cr(III) was determined to be 0.18 ug/cm².
15 That is around 6 ppm. Both Cr(III) and Cr(VI)
16 were capable of eliciting a response at low
17 levels.

18 The third study we are going to
19 discuss is the study done by Basketter et al.
20 in (2001. The purpose of this study is to
21 investigate the dose-response relationships

68

1 for Cr(VI) elicitation in sensitized persons
2 using both occluded patch and open application
3 techniques.

4 There are 17 volunteers with a
5 history of contact allergy to chromium
6 included in this study. In Part I of the
7 study, Finn Chambers applied for 2 days on the
8 back with aqueous dilutions of potassium
9 dichromate, 1, 10, 100, 1000 ppm, applied to
10 normal skin and also to sites pre-treated with
11 0.2% sodium lauryl sulfate (SLS).

12 In Part II of the study, repeat open
13 application tests (ROAT) conducted on some
14 volunteers using the aqueous solutions of
15 potassium dichromate containing 0.1% SLS.
16 Initial concentrations of 5 and 10 ppm used;
17 if negative, then 20 and 50 ppm used after a
18 one-month rest period.

19 The results of the closed patch
20 test, the normal skin, there were no
21 reactions. In the SLS treatment, 2 out of 17

1 responded at 1 ppm. For the repeated open
2 application test (ROAT), 3 out of 15 showed
3 response at 5 and 10 ppm.

4 To calculate the CCDS for
5 elicitation phase based on human data, OPP
6 considered the Nethercott et al. 1994 is a
7 well-controlled study and should be used for
8 CCDS calculation.

9 Based on Nethercott's 1994 data,
10 because at the lowest tested concentration
11 0.018 ug/cm², still one volunteer showed
12 positive response; therefore, 0.018 ug/cm² was
13 considered as the LOAEL, the lowest observable
14 adverse effects levels. Because the data are
15 from human studies, the interspecies
16 extrapolation factor could be reduced to 1.

17 An intraspecies uncertainty factor
18 of 3 is proposed based on the use of
19 sensitized persons as elicitation thresholds
20 have been found to be less variable than
21 induction thresholds. An uncertainty factor

1 of 3 is also applied for the use of LOAEL
2 values as the studies were not designed for
3 specific determination of a NOAEL. An
4 uncertainty factor of 1 is proposed for
5 exposure considerations based on the use of a
6 sensitized study group.

7 The total uncertainty factor of 10
8 of 3 times 3 was applied to the reported human
9 LOAEL values of 0.018 ug/cm², and the CCDS for
10 the elicitation phase was determined as 0.0018
11 ug/cm².

12 If you use the 10% MET value as the
13 LOAEL, the calculated CCDS for elicitation
14 phase would be 0.0089 ug/cm².

15 A similar approach can be applied to
16 the MET values from Hansen et al. in 2003 and
17 Basketter et al. in 2001 studies. The
18 calculated CCDS for elicitation phase would be
19 0.001 and 0.003 ug/cm² for persons previously
20 sensitized to hexavalent chromium. These
21 values are similar to the proposed value of

71

1 0.0018 ug/cm².

2 To calculate the CCDS for the
3 elicitation phase using murine LLNA data has
4 also been proposed by Griem et al. based on
5 their 2003 publication and the public
6 comments.

7 By using Griem's public comments
8 approach, when the risk assessment is based on
9 an EC3 LLNA value reported in Kimber et al. in
10 1995. Since the lower boundary for the EC3
11 range from several studies was used and the
12 mouse seem to be at least as susceptible than
13 human, an intraspecies uncertainty factor of 1
14 is considered to be adequate.

15 Since all human subpopulation can
16 come into contact with chromium-treated wood
17 and since contact of inflamed eczematous,
18 hydrated or otherwise compromised skin cannot
19 be excluded, an intraspecies uncertainty
20 factor of 10 is considered adequate.

21 Since repeated daily exposure with

1 treated wood can be considered likely, and the
2 half-life time of chromium in the skin is
3 rather long, an uncertainty factor of time of
4 10 is proposed besides an uncertainty factor
5 to account for the difference between the
6 induction and elicitation of 15 included.

7 CCDS brings on the Kimber et al. for
8 elicitation phase is .007 microgram per square
9 centimeter.

10 The summary. Cr(VI) is a potent
11 dermal sensitizer. It is able to induce and
12 to elicit allergic contact dermatitis.
13 Cr(III) is also capable of eliciting allergic
14 contact dermatitis, but studies indicate that
15 it is less potent than Cr(VI).

16 Using the LLNA data, two different
17 approaches have been proposed to estimate the
18 CCDS for the induction phase of dermal
19 sensitization.

20 CCDS for Induction Phase proposed
21 average induction CCDS for Cr(VI) is 0.034

73

1 ug/cm² based on the Griem approach is 0.01

2 ug/cm² based on the Gerberick approach.

3 CCDS for the elicitation phase based
4 on the human data, Nethercott et al. in 1994
5 proposed Cr(VI) CCDS for the elicitation phase
6 is 0.0018 ug/cm² based on the LOAEL and 0.0089
7 ug/cm² based on MET 10%.

8 Based on the LLNA data and using the
9 Griem's approach, proposed average Cr(VI) CCDS
10 for the elicitation phase is 0.007 ug/cm²
11 based on the Kimber, et al., five studies.

12 That's the end of my presentation.

13 DR. HEERINGA: Thank you very much,
14 Dr. Chen.

15 At this point, I'd like to ask the
16 Panel if they have any questions for Dr. Chen
17 on his presentation or the results of the
18 research, the analysis of the research, that
19 he has presented here or for Dr. McMahon as
20 well if you had something. Yes. Dr. Menne.

21 DR. MENNE: I'd like to ask if there

1 was any quantitative data on the amount of
2 hexavalent chromate leaching out chromate
3 preserved wood. Is there any data on dust on
4 the surfaces?

5 DR. CHEN: This is a very, very
6 important question, actually. At this moment
7 for CCA, we do have some hand-wipe data. But
8 for ACC, it is one we don't. And for that
9 reason, we like to have some kind of study
10 that can show what will be the appropriate
11 allergic contact dermatitis, what kind of
12 temperature before the fixation is really
13 complete. Because before that, Cr(VI) is
14 likely to stay on the surface.

15 So at this moment, we don't have
16 this kind of data for ACC.

17 MR. JONES: Although we are in the
18 process of collecting data that indicate that.
19 And in the next couple of months, I think
20 we'll have a fairly robust data set that will
21 give us a sense of how much of the chromium is

75

1 wiped off on hands.

2 DR. HEERINGA: That was Mr. Jim
3 Jones. Yes, Dr. Hayes.

4 DR. HAYES: Is there any information
5 besides the Hansen study that Cr(III) is an
6 sensitizer?

7 DR. CHEN: The Hansen study
8 basically it demonstrates -- it's Cr(III) is
9 an elicitation phase. It can induce that kind
10 of reaction. And actually in the Nethercott
11 study, they have also done the Cr(III) study.
12 And it seems like there is no really positive
13 response.

14 DR. HAYES: It was negative in that
15 one. But Hansen is the only one where there
16 is a positive response.

17 DR. CHEN: Yeah. But there's one
18 thing that the Hansen study basically they are
19 putting -- let's see -- around 20 different
20 patches on the same individual and these kind
21 of things. So in general, Cr(III) is

1 considered -- it can become an inducer for the
2 elicitation phase. But the difficulty is
3 that, because it's Cr(III), it's very
4 difficult to penetrate the skin. So if there
5 are any kind of mechanics that can make the
6 Cr(III) to penetrate skin, then it can induce
7 elicitation of the allergenicity.

8 DR. HAYES: A second question:
9 What's the basis for the number of significant
10 figures that you're giving for all these METs
11 and all the various numbers. You're carrying
12 out to a large number of significant figures.

13 DR. CHEN: Well, at this moment,
14 let's see, all these are with a different kind
15 of approach -- no. Because we do have all
16 these studies, it's a different kind of
17 approaches. We are trying to demonstrate, you
18 know, if we use this kind of approach, what
19 kind of endpoint or CCDS would come out.

20 So at this moment, I think this is
21 the major question that we'd like to ask the

77

1 Panel to help us to find out the best way to
2 come out with the appropriate CCDS. So this
3 is, I think, the important questions.

4 DR. HAYES: Thank you.

5 DR. HEERINGA: Dr. Menne.

6 DR. MENNE: It says there are some
7 publications from the past concerning Cr(III)
8 sensitivity and you say it's usually quite
9 high concentrations. We in Europe in recent
10 years have revisited this area because we're
11 seeing quite a high number of acute dermatitis
12 based on chromate. And that was one of the
13 reasons, one of the background from this
14 Hansen study. And to our surprise on this
15 study, we actually saw some reactions to the
16 trivalent chromate.

17 And one of the explanation, the
18 difference from earlier studies, is that we
19 used another scale of reading compared to
20 former times. So that has explained a good
21 deal of the differences, I think. And our

1 argument for doing so is that, when we're
2 using the agreed ICCD scale, it's in the
3 diagnostic patch test. That's to say you need
4 to have very stringent criteria when it is on
5 the basis of a diagnoses with infiltration,
6 wetness, and so on. And they need to be
7 homogeneous.

8 But when you're making a threshold
9 definition, it's not probably the best way to
10 use this definition because, when you go down
11 the threshold, you actually have
12 concentrations which are not irritant in any
13 controls. And that's to say any difference in
14 the change from normal skin, that might be
15 papules in the test area or redness, might be
16 an indication of a start of a reaction. And
17 then it's only a matter of allergic contact
18 dermatitis that you have a full-blown
19 reaction.

20 So that's just to explain that you
21 have another threshold in this study. And

79

1 that is because we are thinking that your
2 philosophy that demanding the ICC criteria for
3 the threshold maybe is not completely fair.

4 DR. HEERINGA: Thank you, Dr. Menne.
5 Yes, Dr. Isom.

6 DR. ISOM: Is there any evidence for
7 cross sensitivity between Cr(III) and Cr(VI).
8 And if so, then would that produce effects in
9 combined exposures have any implications?

10 DR. CHEN: Well, actually, the
11 Hansen study would be a very good study
12 because they did kind of combined, bring to
13 the testing solutions. And because Cr(IV) is
14 an irritant at a higher concentration. So
15 like I mentioned earlier, if any condition
16 that can help the Cr(III) to penetrate into
17 the skin, then it can help it come up some
18 correction. Is that right?

19 DR. MENNE: Yes. What we did in
20 this study was that we actually also tested
21 isolated with Cr(III), Cr(VI). And then you

80

1 named a combination of the two -- and we
2 didn't see any additive arsenatistic effect by
3 the combination. And, of course, you can
4 speculate a lot why this is. And we even
5 speculated that the population, at least in a
6 large part of Europe, is more exposed to
7 Cr(III) than to hexavalent chromate and maybe
8 it might play a role where you're primarily
9 sensitized to Cr(III) and not hexavalent
10 chromate. So we didn't see any additive
11 affects. And we think that trivalent chromate
12 might be a primary sensitizer, at least when
13 it comes to acute dermatitis.

14 DR. HEERINGA: Thank you. Any other
15 questions?

16 I have one for Dr. Chen. And it's
17 just a point of information. Slide 7, you
18 present a table which shows the composition of
19 the CCA formulations and ACC. My recall is
20 that it's CCA that is primarily used in
21 residential applications for pressure-treated

81

1 wood, and B and C are marine and industrial.

2 DR. CHEN: Well, Type C solution is
3 a primary solution used for the residential.

4 DR. HEERINGA: Thank you. That's a
5 correction. I'm sorry. Thank you.

6 Any other questions from the Panel?

7 At this point in allergic contact
8 dermatitis, I have 10:06; and I think we're
9 scheduled for a break. And so I would like to
10 take a -- let's take a 15-minute break and
11 actually reconvene here at 10:25. It's a
12 little more than 15 minutes. We'll reconvene
13 at 10:25. And at that point in allergic
14 contact dermatitis, we'll begin our period of
15 public comments.

16 And in the public comment period, we
17 have scheduled public commenters. Some of
18 them have arranged for special presentations
19 and lengths of allergic contact dermatitis
20 with Mr. Lewis and the SAP Office. And so
21 they'll be granted extra allergic contact

82

1 dermatitis.

2 If you are in the audience and want
3 to make a public comment, again, during this
4 period at the end of the scheduled
5 presentations, please, see Paul during the
6 break. We'll reconvene at 10:25.

7 [Break taken at 10 a.m.

8 Session resumed at 10:28 a.m.]

9 DR. HEERINGA: Welcome back to the
10 late morning session of our FIFRA SAB Panel
11 meeting on the topic of the Consultation on
12 Dermal Sensitization Issues for Exposures to
13 Pesticides.

14 We are about to enter the public
15 comment period. But before we do, EPA has
16 asked -- and I think it's a very good idea --
17 that they be permitted to read through the
18 formal charge questions that are addressed to
19 the Panel. It helps to set context, I think,
20 and to remind us throughout these two- or
21 three-day meetings exactly what we're focusing

83

1 on with regard to the EPA's scientific
2 interest in the Panel.

3 Dr. McMahon, if you would like to
4 read the charge questions to the Panel.

5 DR. MCMAHON: Thank you, Dr.
6 Heeringa. They were about to be shown up on
7 the screen.

8 DR. HEERINGA: While you're doing
9 that, let me just use the allergic contact
10 dermatitis for one announcement. The members
11 of the Panel should have received during the
12 break a copy of a paper by a Dr. Paul Cooper
13 of the University of Toronto, "Comparison of
14 fixation and leaching characteristics of acid
15 copper chromate ACC with CCA-C." And a copy
16 of that paper will be placed in the docket.

17 DR. MCMAHON: Our issue for the SAP
18 Panel deals with the quantitative risk
19 assessment for the induction phase of allergic
20 contact dermatitis. As we've seen approaches
21 for determination of the quantitative

1 assessment of sensitization induction
2 thresholds have been produced in the
3 literature using results of murine LLNA and/or
4 data from human patch testing by Gerberick and
5 by Griem.

6 Gerberick proposed a methodology, as
7 we saw for determination of a sensitization
8 reference dose for sensitizers in consumer
9 products, where the lower boundary of the
10 potency category for a chemical was used as a
11 starting point with application of uncertainty
12 factors for interindividual variability,
13 product matrix effects, and use pattern.

14 We've also seen that Griem, et al.,
15 proposed a quantitative approach using the EC3
16 value from LLNA as a starting point as a
17 surrogate value for an NOAEL that could be
18 used as a starting point in quantitative
19 assessment.

20 We've also seen that uncertainty
21 factors are concerned for the interspecies

1 variation, the intraspecies variation product
2 matrix effects and conditions of exposure.

3 So our first question for the SAP
4 is: What are the strengths and proposed
5 quantitative approach for determination of
6 induction thresholds to dermal sensitizing
7 chemicals? What other approaches does the
8 Panel recommend EPA consider? Which
9 uncertainty factors does the Panel feel are
10 the most appropriate for application to
11 quantitative methods of induction threshold
12 determination? And what factors should be
13 included in the determination of the magnitude
14 of each uncertainty factor.

15 Our second issue for the Panel deals
16 with the quantitative risk assessment for the
17 elicitation phase of allergic contact
18 dermatitis.

19 As we've seen, again, we've seen the
20 concept of the minimum elicitation threshold
21 as discussed in previous publications by

1 Nethercott and Basketter, specifically through
2 spectahexavalent chromium. We have also that
3 this concept is employed as a result of
4 testing sensitized individuals but that we
5 have not had an extensive discussion of the
6 criteria for employing this concept.

7 So our second question for the Panel
8 is: What are the strengths of proposed
9 quantitative approaches for determination of
10 elicitation thresholds to dermal sensitizing
11 chemicals? What other approaches does the
12 Panel recommend that the EPA consider? Which
13 uncertainty factors does the Panel feel are
14 the most appropriate for the application to
15 quantitative methods of elicitation threshold
16 determination? And what factors should be
17 included in the determination of the magnitude
18 of each uncertainty factor.

19 The third question issue for the SAP
20 deals with children's sensitivity. As we have
21 presented, we have data from Paustenback and

1 Felter who have discussed whether children are
2 or more less at risk for the development
3 allergic contact dermatitis. With respect to
4 hexavalent, Paustenback has said risks to
5 children ages 3 to 8 is not likely to be
6 greater than adults.

7 And whereas Felter has suggested
8 that infants and children may actually be at
9 lower risk for development of allergic contact
10 dermatitis. We've seen that data from Whorel,
11 et al., suggest there may be issue with
12 respect to sensitivity and age.

13 We also understand that young
14 children may not have been exposed to
15 different allergens as compared to adults. In
16 addition, increased frequency of exposure in
17 children may increase a chance of induction to
18 differential allergens.

19 So our third question to the Panel
20 is: Does the Panel agree that the available
21 scientific data suggests no significant

1 difference in the relative sensitivity of
2 children versus adult to the induction and/or
3 elicitation of allergic contact dermatitis?
4 And if so, please provide scientific
5 justification for this position.

6 If the Panel disagrees, please
7 provide scientific justification including
8 supporting data and/or uncertainties in the
9 explanation.

10 Our forth issue for the SAP deals
11 with the case study Cr(VI) in treated wood.

12 As we've seen data from the murine
13 LLAN tests as well as from human patch testing
14 studies are available for hexavalent chromium
15 in the literature. And we know that the EC3
16 values indicate area doses that result in the
17 induction of sensitization in the mouth are
18 results of patch tests in humans show area
19 doses that result in elicitation of
20 sensitization in already sensitized
21 individuals.

1 In our initial assessment where we
2 sought to assess the dermal sensitization
3 hexavalent chromium, the lowest dose tested at
4 .018 ug/cm² from the human patch test study of
5 Nethercott in 1994 was selected for
6 determination of dermal risk from hexavalent
7 chromium.

8 A total uncertainty factor of 10x
9 and 3x for use of the LOAEL and 3x for the
10 small study population was applied resulting
11 in a "safe" area of 0.0018 mgsqc. We've also
12 seen that using the data of Basketter and
13 Hansen will result in a derivation of similar
14 "safe" area doses of .0001 and .003 mgsqc
15 respectively.

16 Our fourth question for the SAP,
17 then, would be: Please comment on the methods
18 used for derivation of "safe" area doses using
19 the LLNA data and human patch test data and
20 including the magnitude of the applied
21 uncertainty factors and include a scientific

90

1 rationale in support of your position. Please
2 comment on whether it is scientifically
3 supportable to derive separate "safe" area
4 doses for protection against induction of
5 dermal sensitization as well as elicitation in
6 sensitized individuals by hexavalent chromium.

7 Thank you.

8 DR. HEERINGA: Thank you very much,
9 Dr. McMahon.

10 And, again, that was intended to set
11 the context for presentations and for the
12 discussion and the Panel responses that will
13 occur later on in this meeting.

14 At this point in allergic contact
15 dermatitis, we'll move to the period of public
16 comments. And I believe that the public
17 comment mike is set up here in the right-hand
18 corner of the table.

19 And at this point in allergic
20 contact dermatitis, I'd like to invite Dr.
21 Michele Burgess of the EPA Office of Solid

91

1 Waste and Emergency Response to come up and
2 present her comments.

3 Before I get started, I just wanted
4 to make sure that my slides will be provided
5 to the Panel members prior to my discussion.
6 If not, I do have a copy.

7 MR. LEWIS: The slides were shared
8 with the Panel here. Thank you.

9 DR. BURGESS: Great. Thank you very
10 much.

11 Well, good morning, distinguished
12 Chairman, honorable Panel members, ladies and
13 gentlemen.

14 Let me introduce myself. My name is
15 Dr. Michele Burgess. And, yes, I'm with the
16 United States Environmental Protection Agency,
17 Office of Solid Waste and Emergency Response,
18 also known as OSWER.

19 Thank you so much for this
20 opportunity to discuss dermal sensitization
21 from exposures to pesticides in the environment.

92

1 I would like to take a few moments to provide
2 some background on OSWER's programs which I
3 hope will provide a useful back drop for
4 today's discussion.

5 OSWER has two national programs that
6 it implements. First is the Comprehensive
7 Environmental Response Compensation and
8 Liability Act, also know CERCLA, commonly
9 known as Super Fund, which addresses the
10 cleanup of hazard substances released into the
11 environment, the land, air, and water. And
12 the second the Resource Conservation &
13 Recovery Act, also known as RCRA, which
14 regulates the management of disposal of
15 pesticides as well as corrective action of
16 hazardous substances.

17 As I said, a number of pesticides
18 are Super Fund hazardous substances as well as
19 RCRA hazardous waste. Towards achieving a
20 clean-up remedy, the Super Fund clean up and
21 RCRA Corrective Action Programs generally

1 conduct human health and ecological risk
2 assessments. And remedial goals are developed
3 from these.

4 These remedial goals are media
5 specific and site specific and address among
6 other things the dermal exposure pathway. In
7 addition, pesticides which are RCRA hazardous
8 wastes must also be managed and disposed of in
9 accordance with RCRA regulations.

10 Therefore, since a number of
11 pesticides are Super Fund hazardous substances
12 and RCRA hazardous wastes, the cross-agency
13 consistency on the question of dermal
14 sensitization is an important one.

15 I would like to focus my discussion
16 on the factors that impact implementation of a
17 toxicity value towards evaluating regulating
18 safe levels of chemicals in the environment.
19 As I stated before, OSWER implements several
20 multi-media programs, specifically OSWER
21 programs are responsible for remediation and

1 disposal of contaminants incorporated in a
2 variety of environmental media such as wood,
3 soil, and water. An integral part of
4 developing an environmental hazard assessment
5 for a chemical contaminant is the application
6 of experimental data to the actual and
7 reasonably anticipated environmental exposure
8 scenario.

9 The question before the Panel today
10 addresses direct dermal contact with
11 contaminated environmental media. OSWER
12 programs take into consideration environmental
13 media factors that influence the availability
14 of the chemical for exposure to humans and
15 ecological receptors. It is important to
16 assess the contact with the media which may
17 render the same adverse health effect that has
18 been experimentally tried.

19 Therefore, OSWER is specifically
20 interested in how each environmental matrix
21 variable presents similar as well as

1 matrix-specific variables as well as those
2 site-specific factors that will impact the
3 estimation of the acceptable environmental
4 area dermal dose.

5 OSWER will not ask the Panel to
6 weigh in on human activity dependent factors
7 such as contact frequency, available exposed
8 skin surface area, or human exposure
9 scenarios.

10 I will now discuss in more detail
11 the influential media variables for wood,
12 soil, and water. The preceding presentations
13 by Drs. McMahon and Chen presented methodology
14 towards assessing the toxic endpoint of
15 hexavalent chromium and the fixation process
16 of hexavalent chromium to trivalent chromate
17 in a wood product.

18 The interest lies in evaluating
19 whether a safe level of chromium exposure from
20 direct dermal contact with chromium residues
21 on the surface of treated lumber will not lead

1 to development of an adverse effect. And that
2 form would be either a dermal irritation
3 and/or acute contact dermatitis.

4 Conditions such as pH, temperature,
5 wood types, wood moisture content, and
6 allergic contact dermatitis will influence the
7 bioavailability of the chemical incorporated
8 in the wood. In the case chromium, these
9 conditions will determine the form of chromium
10 that is available for direct dermal exposure.

11 For example, the allergic contact
12 dermatitis and temperature are directly
13 correlated to the conversion of hexavalent
14 chromium to trivalent chromium on treated
15 wood. Thus, an increase temperature or
16 allergic contact dermatitis will increase the
17 rate that the hexavalent form will convert to
18 trivalent form; and, therefore, affect the
19 human health exposure. And I'll explain why
20 I'm bringing that up a little bit later.

21 In the soil media describing an

1 absorbed dermal dose of extractable, chromium
2 is determined by many factors. I have divided
3 these into three categories: soil properties,
4 chemical properties, and other.

5 Soil properties that will impact the
6 availability of the chemical to the skin are
7 organic content, water content, and the soil
8 type. The organic content of the soil
9 produces an environment whereby the chemical
10 will either be bound by the organic carbon
11 content of the soil, and thus influencing
12 mobility of the chemical from the soil to the
13 skin.

14 The water content of the soil will
15 be governed by the solubility of the chemical
16 in the water. The soil water content may be
17 sufficient to present an environment whereby
18 the chemical is dissolved in the water and
19 will influence the release of the chemical
20 from the soil to the skin.

21 The soil type, such as either sandy,

1 loamy, or silty, will influence the ability of
2 the chemical to move from the soil to the skin
3 by inherent soil factors such as soil particle
4 size which will govern the available soil
5 surface area to contact the skin, thus
6 determining the amount of the chemical that is
7 available to be absorbed by the skin.

8 The particular soil type also
9 influences what is known as the "soil
10 adherence factor." The soil adherence factor
11 describes that amount of soil that adheres to
12 the skin per unit of skin surface, area.
13 Depending on the soil type, the soil adherence
14 factors can range anywhere from 5.4 to 61
15 milligram per cubic centimeter. And as per
16 the 2001 draft review risk assessment guidance
17 for Super Fund, Part E, Supplemental Guidance
18 for Dermal Risk Assessment.

19 Another important soil property are
20 the soil conditions suitable for the media
21 conversion of the chemical. These chemical

1 conversions are produced by reduction or
2 oxidation reactions. In the case of chromium,
3 under certain conditions, a large proportion
4 of the hexavalent chromium will be converted
5 to the form of trivalent chromate resulting in
6 a total soil chromium concentration that is
7 actually a ratio of hexavalent to trivalent
8 chromate.

9 Literature sources indicate anywhere
10 from 8 to 15 percent of total chromium in the
11 soil is in the hexavalent form. And in fact,
12 in 2001, the Science Advisory Panel determined
13 that the acceptable level of total chromium in
14 the soil should be adjusted by 10 percent to
15 account for the trivalent to hexavalent
16 chromium ratio.

17 This is important because the form
18 that the chemical assumes, such as speciation,
19 will influence the toxicity of that chemical
20 in a biological system. In the case of
21 chromium, the speciation may impact its

100

1 ability to illicit allergic contact

2 dermatitis.

3 Lastly, the concentration in the
4 soil is a principal factor. The probability
5 of a chemical transfer from the soil to the
6 skin is directly correlated to the
7 concentration of the chemical found in the
8 soil.

9 Lastly, the other factor that may be
10 influencing the mobility of a chemical from
11 the soil to the biological matrix is the
12 chemical permeability coefficient. The
13 chemical permeability is the chemical-specific
14 biological determinant of the amount of
15 chemical that will be absorbed by the skin.
16 It is mainly determined by the contents of the
17 sweat in the skin which may influence, again,
18 the mobility of the chemical from the soil to
19 the skin. I will discuss this in more detail
20 in the next slide.

21 The last matrix that I will discuss

101

1 is water. And it incorporates many of the
2 same matrix factors that I have previously
3 discussed with regard to wood and soil.
4 However, a water specific variable that
5 heavily influences the mobility of the
6 chemical from the water to the skin is the
7 permeability coefficients, also known as the
8 K_p .

9 The PC determines the rate of
10 migration of the chemical through the skin
11 derived from either experimentally measured or
12 predicted values. The PC for chromium is
13 dependent upon the speciation of chromium.
14 And as, again, discussed in the 2001 Risk
15 Assessment Guidance for Super Fund, Part E,
16 Supplemental Guidance for dermal risk
17 assessment, the recommended permeability
18 coefficient for trivalent chromate is 1×10^{-3}
19 (cm/hr), and hexavalent chromium, 2×10^{-3}
20 (cm/hr.) These recommended values are the
21 highest reported PC for those two species for

102

1 chromium.

2 OSWER considers the media variables
3 in the wood, soil, and water to be important
4 factors in our program's decisions when
5 determining an acceptable area dermal dose.
6 The valuation of these values are not only
7 matrix specific but also site specific and are
8 one of the key factors that are taken into
9 consideration when OSWER establishes a
10 remedial or regulatory decision.

11 Therefore, the questions that OSWER
12 would respectfully welcome input from the
13 Panel on include: Does the SAP agree that
14 environmental matrix variables will influence
15 the acceptable area dermal dose to induce or
16 elicit contact dermal sensitization in an
17 individual when exposed to a chemical. And,
18 secondly, please describe whether
19 media-specific characteristics have or do not
20 have a substantial impact on determining an
21 environmental acceptable dermal dose for a

103

1 chemical that is incorporated in environmental
2 media.

3 In closing, let me thank you,
4 distinguished Chairman and honorable Panel
5 members, for this opportunity on input on this
6 very important environmental topic.

7 DR. HEERINGA: Thank you very much,
8 Dr. Burgess. And I think as part of Dr.
9 Burgess's presentation, there have been
10 several questions which are questions
11 eliciting information and response. And in
12 these should be taken in the context of the
13 public comment. And I think you are free to
14 respond to those or as applicable to the
15 charge questions that we will be reviewing
16 later to incorporate a response to these
17 issues as part of that as well.

18 Now, are there any questions for Dr.
19 Burgess on her presentation or any initial
20 reactions?

21 DR. CHU: Dr. Burgess, I'm

104

1 interested in your presentation. There's a
2 slide, Slide 7, you presented permeability
3 coefficient, KP values --

4 DR. BURGESS: Yes.

5 DR. CHU: -- for Cr(III) and Cr(VI).

6 DR. BURGESS: Yes, sir.

7 DR. CHU: Are these predicted of
8 modeled ladders or empirically determined?

9 DR. BURGESS: The ones that I in
10 particular chose -- and these are the ones
11 again that OSWER has chosen to use in their
12 own risk assessment guidance for dermal
13 assessments -- were actually measured values.

14 DR. CHU: Okay.

15 DR. BURGESS: But all of them
16 measured and predicted values are incorporated
17 in the guidance for use.

18 DR. CHU: Based on these values, the
19 KP values of Cr(III) is only slower than the
20 Cr(VI) by 50 percent. How does this
21 permeation rate compare with the common belief

105

1 that Cr(III) is not absorbed versus Cr(VI)?
2 The common belief is because Cr(VI) is
3 absorbable as compared to Cr(III)? Can you
4 sort of expand?

5 DR. BURGESS: If I understand your
6 question right, you're just wanting to know
7 how those relate to the --

8 DR. CHU: That's right. Because
9 commonly we believe that Cr(III) is not
10 absorbed. That's why it doesn't pose a health
11 hazard concern.

12 DR. BURGESS: Exactly. And, again,
13 that is a concern of ours, too, that you may
14 be having that absorbed. As you know, once
15 chromium is entered into a biological systems,
16 it's actually converted to Cr(III) even if it
17 had been producing a hexavalent form. And
18 through these measured values, we've been
19 looking at this particular issue. And we do
20 take that into consideration for making
21 decisions.

1 Just a side note. This guidance
2 that I'm citing from is actually out on draft
3 public comment. And we have been receiving
4 comments on that as well in trying to decide
5 how to address that. Thank you.

6 DR. HEERINGA: Thank you. And, Dr.
7 Chu, my apologies on the name mixup. I always
8 apologize in advance to the panelists for
9 scrambling names.

10 DR. PLEUS: In terms of the guidance
11 that you're just discussing right now, you say
12 it's out for draft comment.

13 DR. BURGESS: Yes.

14 DR. PLEUS: Could you provide A web
15 link or anything along that line?

16 DR. BURGESS: Actually, it is
17 provided in the background material. I think
18 it's on the last page if I'm correct.

19 DR. PLEUS: That is the reference.

20 DR. BURGESS: Yes. There is a web
21 link there. Otherwise, I can get that for

107

1 you.

2 DR. HEERINGA: We can certainly
3 identify that web link and let everybody know.

4 DR. BURGESS: Or I definitely will.
5 Or if you'd like me to bring you a hard copy,
6 I'd be happy to do that as well.

7 DR. PLEUS: Either one would be
8 great. Thank you.

9 DR. BURGESS: Okay. Sure.

10 DR. HEERINGA: Any other questions
11 for Dr. Burgess from members of the Panel?

12 Well, thank you very much, Dr.
13 Burgess.

14 DR. BURGESS: Thank you.

15 DR. HEERINGA: Our next public
16 commenter is Mr. James Aidala with the ACTA
17 Group. He's representing the Forest Products
18 Research Laboratory. Mr. Aidala, do I have
19 the name correct?

20 MR. AIDALA: Thank you, Mr.
21 Chairman. We're all going to be coming up.

108

1 I'm just going to do an introduction if that's
2 okay with you.

3 DR. HEERINGA: That would be fine.
4 And this would include Dr. Maibach and others
5 as well.

6 MR. AIDALA: And I'll introduce our
7 folks here.

8 DR. HEERINGA: The thing I would ask
9 is that maybe at appropriate times -- and I'll
10 let you control this a little bit -- we would
11 have a chance for the questions of
12 clarification or comment.

13 MR. AIDALA: Oh, certainly,
14 certainly. In fact, I'm just going to do an
15 introduction and then leave the table. I'll
16 come back, but I'll let people that actually
17 can be more articulate about what we're going
18 to be presenting.

19 My name is Jim Aidala. I'm a vice
20 president of the ACTA Group which is an
21 environmental consulting firm. My previous

109

1 positions in this field include, most notably,
2 a long stint at EPA itself as a senior
3 political appointee of the Clinton
4 administration having the honor of closing my
5 allergic contact dermatitis at EPA as the
6 assistant administrator for the Office of
7 Prevention Pesticides and Toxic Substances.
8 And I'm happy to be here and happy to be part
9 of the proceedings.

10 On behalf of Forest Products
11 Research Laboratory, FPRL, we thank you for
12 the opportunity to address the SAP and its
13 examination of quantitative RA in the context
14 of dermal sensitization issues for exposure to
15 pesticides.

16 I'd like to use just a few moments
17 now to address the context of those charges
18 that are being presented to the Panel and then
19 introduce these others who are joining me
20 today on behalf of Forest Products and outline
21 a little bit the order of our presentation for

110

1 the Panel.

2 FPRL is seeking to obtain from EPA
3 registration for a pesticide product, acid
4 copper chromate, ACC. ACC has been a
5 registered pesticide product in the United
6 States for several decades and is used widely
7 in Europe as a wood preservative. Product
8 testing of ACC-treated wood demonstrates that
9 ACC is cost-effective and is a replacement for
10 CCA which was prohibited from use in treated
11 wood for residential uses, as Mr. Jones
12 mentioned earlier, as of December 31, 2003.
13 Given the removal of most CCA-uses, commercial
14 and residential users of treated wood would
15 benefit from some additional choices.

16 In mid 2003, FPRL applied to EPA for
17 registration for ACC which contains chromium.
18 EPA has identified the chromium component of
19 ACC as a potential skin sensitizer. We
20 believe there's ample data that does exist to
21 demonstrate that chromium poses no risk of

111

1 dermal sensitization to the general population
2 from this use. Therefore, ACC could be used
3 as wood treatment preservative without any
4 question as to its safety and effectiveness to
5 the public.

6 The context of the SAP meeting is
7 exposures to determine sensitizers
8 incorporated in the treated articles, such as
9 treated wood. And as EPA has explained,
10 hexavalent chromium is a component of ACC
11 intended to be used in a wood preservative
12 formulation is being considered as a case
13 study to explore methodologies to assess these
14 types of expose scenarios. According to the
15 EPA presentation, the methods developed for
16 hexavalent chromium could form the basis for
17 determining the approach and types of data
18 needed to assess dermal sensitizers
19 potentially used in products available to
20 consumers. In other words, it's not just for
21 this particular product and this kind of

112

1 product that across the board is an arena for
2 the potential examination for approval of
3 pesticide products across the board.

4 The presentations we're making today
5 will clarify that ACC in terms of the case
6 study is a safe product. And although
7 chromium is a potent skin sensitizer that can
8 lead to reversible dermal irritation, the
9 levels of hexavalent chromium in ACC-treated
10 wood are so low that, like CCA-treated wood
11 before, which also has chromium as a
12 component, ACC-treated wood presents little or
13 no risk of dermal sensitization to the general
14 population.

15 In addition, the presentations
16 address the local lymph node assay, LLNA, a
17 novel and predictive method for identification
18 of skin sensitizing chemicals where activity
19 is judged as a function of the induction phase
20 of sensitization. The interest in LLNA is
21 well-founded; and indeed there is significant

113

1 interest in attempting to show its utility for
2 RA purposes. However, as we'll try and argue
3 before you now, the LLAN approach for purpose
4 of risk assessment has not been validated
5 extensively. And for this reason, LLNA is not
6 ready as a tool, we believe, for EPA or
7 industry to rely on in quantitative risk
8 assessment for the purpose in ensuring the
9 safety of pesticide products.

10 Questions surrounding the
11 appropriate uses of the LLAN method are not
12 something that can be addressed through just
13 simple application of various uncertainty
14 factors in a RA process. The case analysis
15 presented by EPA relying on the LLAN approach
16 is unnecessarily conservative and, fortunately
17 in this case, there's a wealth of data
18 clinical and otherwise, showing that chromium
19 has mostly been a problem only in certain
20 occupational settings. Now simply because
21 there's a new tool at its disposal as an

114

1 analytical approach, does not mean it's
2 necessarily ready in the precise world of
3 regulatory decision-making. And, obviously,
4 that's our position. That's a key issue
5 underlying the questions on which this Panel
6 has been asked to comment.

7 Relevant to establishing a
8 regulatory threshold is to consider what
9 segment of the population may be at greatest
10 risk. The chromium-sensitized population is a
11 fraction of the general population and is
12 comprised almost entirely of occupationally
13 exposed individuals but not solely. But with
14 this point in mind, I wish to bring to the
15 Panel's attention EPA's own existing policy
16 with respect to sensitive subpopulations, and
17 what part of the population is the basis of
18 establishing regulatory standards. The stated
19 policy as articulated by EPA in a March 2004
20 report on risk assessment principles, is as
21 follows. And I quote:

1 EPA typically cannot protect every
2 individual, but rather attempts to protect
3 individuals who represent high-end exposures,
4 typically around the 90th percentile and
5 above, or those who have some underlying
6 biological sensitivity. In doing so, EPA
7 protects the rest of the population as well.
8 In general, EPA tries to protect sensitive
9 individuals based on normal distribution of
10 sensitivities. EPA considers the most
11 sensitive individuals where there are data but
12 does not necessarily attempt to protect, quote
13 "hypersensitive" individuals, closed quote.

14 And even with the tougher standards
15 imposed by the FQPA amendments to FIFRA, we
16 will show that ACC can be used to treat wood
17 for residential use and meet the applicable
18 FQPA and FIFRA standard. Although EPA's
19 stated risk assessment policy is not to
20 protect everyone, our presentation will show
21 that the use of ACC for wood treatment will

116

1 present no increased risk of allergic contact
2 dermatitis in the general population.

3 Today, FPRL is bringing leading
4 experts in the field of dermatology and
5 exposure assessment to help the Panel's
6 exploration of novel ways to address the
7 assessment of risks associated with being
8 exposed to dermal irritants. Dr. Howard
9 Maibach, author of over 1,725 publications and
10 preeminent expert in the field of dermatology,
11 will address the Panel on topics related to
12 skin sensitization, testing, children's
13 exposures, and dermatology generally.

14 Dr. Maibach is a Professor within
15 the University California San Francisco
16 Dermatology Department and has written and
17 lectured extensively on the toxicity to man
18 from skin exposures and on the treatment of
19 skin diseases. We're fortunate to have him
20 here to present today and to provide insights
21 that only a man with that kind of experience

117

1 and expertise can provide.

2 Dr. Maibach is joined by Dr. Susan
3 Youngren, also of the ACTA Group who will
4 present on assessments specific to chromium
5 and treated wood. And Mr. Dennis Morgan,
6 General Manager of Forest Products Research
7 Laboratory, an Oregon-based company that
8 conducts research and uses commercializes
9 products used in the production of wood and
10 composite materials. Mr. Morgan will provide
11 the Panel with insight into ACC wood
12 preservative and what we like to call the
13 world of treated wood.

14 Together these individuals will
15 provide, we hope, useful guidance to you as
16 you work your way and provide expert advice to
17 EPA in how to evaluate the general populations
18 exposure to pesticides that are recognized to
19 cause dermal sensitization.

20 We hope that as a group our comments
21 help the Panel, and in turn assist EPA in

118

1 deliberating in a timely manner in deciding
2 the ACC application before the Agency. I very
3 much appreciate the allergic contact
4 dermatitis I've been allowed today, and I will
5 now turn to my colleagues to more fully
6 articulate the points I've made.

7 Thank you.

8 DR. HEERINGA: At this point I have
9 listed Dr. Maibach as the next speaker.

10 DR. YOUNGREN: This is Susan
11 Youngren. I just want to ask whether you have
12 a copy of our slides and then you should also
13 have three articles --

14 DR. HEERINGA: Yes, they have just
15 been distributed. Thank you very much.

16 DR. YOUNGREN: We have also just
17 given to Mr. Lewis to give to all the Panel
18 members a copy of Mr. Aidala's opening
19 remarks.

20 DR. HEERINGA: Thank you very much.
21 That will be included in the docket. Dr.

119

1 Maibach.

2 DR. MAIBACH. Panelists and guests
3 of this august group, may I stand, Mr. Chair?

4 DR. HEERINGA: You may stand.

5 DR. MAIBACH: In my career, we don't
6 know how to do anything sitting.

7 DR. HEERINGA: Okay.

8 DR. MAIBACH: I'd like to start by
9 saying that, clearly, I am not a panelist;
10 and, therefore, I have no advice for anybody.
11 What I am going to try to do, though, is begin
12 to get you, because the panelists presumably
13 know and some of them know a great deal about
14 it, to address a very complex issue.

15 You've heard that hexavalent
16 chromium -- and this is probably the last
17 allergic contact dermatitis I'll use that word
18 in my presentation -- is a very powerful
19 allergen in some experimental systems. But as
20 we sit here today, the Panelists surely know
21 that every one of them, if they're wearing

120

1 leather shoes, probably has some hexavalent
2 chromium exposure.

3 So what the field has been trying to
4 deal with for a hundred years, and we are
5 making progress, is how do we begin to look at
6 the chemistry that we've learned and the
7 biology that we learned to make shrewd
8 assessments. I've given a fair amount of
9 allergic contact dermatitis to, hopefully,
10 titillate your curiosity with the way the
11 field is moving. And I'll end with some very
12 specific examples where there is suggestive
13 data that we are making progress.

14 This story is full of geniuses.
15 I'm, unfortunately, not one of them. But the
16 field of allergic contact dermatitis has had
17 some Albert Einstein-like brains. If you look
18 back at what Einstein did at the beginning of
19 the 19th century, it's inexplicable that one
20 man could be have been so perceptive. But he
21 was. A man from a very simple background made

121

1 some extraordinary intuitive judgments.

2 This field for practical purposes
3 has surely been known about for tens of
4 thousands if not a million or more years. But
5 for the purposes of what the Panel is looking
6 at for the next 3 to 50 years of policy, the
7 first breakthrough came when a very shrewd
8 dermatologist treating a sexually transmitted
9 disease hardly known to most of you in the
10 audience today, but was a very important
11 diseases like tuberculosis 50 years ago,
12 namely syphilis. They treated syphilis with
13 mercury. And one patient -- this is applied
14 to the skin. One patient got a horrendous
15 dermatitis. The light bulb went on -- I'm not
16 sure how many light bulbs there were. This
17 was 1898 -- in Jadassohn's head, and Jadassohn
18 said that all chemical rashes probably were at
19 the same mechanism. Now, that's over a
20 hundred years ago.

21 As a practical matter, the real next

122

1 break through came from another enormously
2 intuitive man who provided you with much of
3 the data that you're going to use in your
4 deliberations because it's the data on, if you
5 take specialized populations going to see
6 usually a dermatologist but occasionally an
7 allergist, occasionally an occupational
8 physician, and if the health care worker can't
9 make a diagnosis on history and examination
10 and is looking for help to try to explain
11 what's going on and does a patch test.

12 People have followed this brilliant
13 man's precept. Because in about just before
14 the Second World War in a wonderful textbook
15 in German -- and I believe I may have the only
16 copy in the United States. I'm indebted to
17 some of my Danish colleagues for it and I'm
18 happy to share it with any of you, but you
19 have to come to my private library to use it.
20 I'm not even trusting it to Federal Express --
21 an occupational physician, not an allergist,

123

1 not a dermatologist, said, look, we're looking
2 for these unknown diagnoses. We are looking
3 to try to understand what's going on. Let's
4 test until we understand more than we
5 understand today every patient in which the
6 diagnosis occult with the same allergens.
7 That is what is known as the routine series.

8 Now this gentleman, Bonneviv, who I
9 have had the pleasure of meeting on several
10 occasions, was so perceptive that although
11 this was just before World War II, we still
12 use approximately 13 of the routine chemicals
13 that he screened within in 1939 we're using
14 today. And it's very helpful in making
15 diagnoses where the history and the physical
16 examination won't do it.

17 So we're going to be talking,
18 though, about the collections of the Bonneviv
19 inspired data and the complexities of how do
20 you use the data to make shrewd judgements.
21 Because in the chemical, you're talking about

124

1 today, it's been around a very long period of
2 allergic contact dermatitis. So it's not a
3 matter of a new test. It's a matter of how do
4 you interpret what we already know.

5 The third breakthrough occurred
6 again, and you will see this constantly in the
7 history of the science of allergic contact
8 dermatitis, in Scandinavia. A group of
9 Scandinavians in about 1970 started a private
10 network without any industry support, without
11 any government support. They would meet for
12 as often as three days twice a year at their
13 own expense.

14 Eventually they wanted to change the
15 name to sound very international. And the
16 group still exists in a shadow form as the
17 International Contact Dermatitis Research
18 Group. They worked out brilliantly. In a
19 very short period of allergic contact
20 dermatitis, common terminology so that Dr.
21 Foulds, Dr. Menne, and I can look at a

125

1 patient.

2 And like music sheets, music notes,
3 dance sheets, we can understand with a simple
4 notation. We really know what 1 plus means,
5 what 2 plus means, what 3 plus means. So many
6 of these problems were worked out. And now
7 the standard series is no longer the two dozen
8 that it was like 30 years ago. Today the
9 standard series -- and I'll comment on this in
10 North America, which is presumably postulated
11 in the confines of the EPA -- is over 60
12 materials that help in the diagnosis of
13 unknown eczema.

14 After the International CD Research
15 Group was formed, I had the enormous good luck
16 -- and I have to attribute it to good luck --
17 to be invited to be part of the International.
18 And as a young kid in San Francisco, and I
19 know how little I know now, but I new nothing
20 then. They gave me this opportunity.

21 I started a group in North America,

126

1 which is still going, the North America
2 Contact Dermatitis Research Group, which has
3 gathered a lot of the epidemiologic or
4 pseudoepidemiologic data that you will be
5 hearing about in your deliberations.

6 Otherwise, the frequency of positive patch
7 tests, whether they are truly allergic or
8 whether they are an irritant or they are any
9 other mechanism in a specialized population,
10 namely the people who end up in a
11 dermatologist's office.

12 Now, the strongest group that we
13 have at the moment is the young people who
14 didn't want to deal with the international
15 group, namely, but who worked closely with
16 many of them in very good relationships,
17 started what is now the European Environmental
18 Contact Dermatitis Research Group, and they
19 are extremely active and adding a large amount
20 of evidence based data.

21 Now in addition to these groups, if

127

1 you really want to be a scholar, we can
2 provide you data from Portugal. We can
3 provide you data from Chile, from all over
4 Japan. There are about 10 of these little
5 academic unfunded study groups that are
6 constantly adding numbers. So you're not
7 going to be short of numbers. Your problem is
8 going to be the same as mine, how do you
9 interpret the numbers.

10 The last breakthrough was probably
11 largely responsible due to one of your
12 panelists, Torkil Menne, who convinced the
13 European community that it was worth spending
14 resources to get evidence-based data. And in
15 a series of studies funded by the European
16 community on dose response relationships,
17 which we'll go into more, of serial dilution
18 testing and of something that we'll introduce
19 which we think that is enormously valuable in
20 understanding what you are doing here, actual
21 use tests which answer many questions.

1 This really was a major
2 breakthrough. And we hope that Dr. Menne is
3 able to get the European community and maybe
4 NIOSH and OSHA and NIH and many other groups
5 to fund these studies because they're so
6 powerful in the quality of the information
7 that they portray.

8 Now, earlier this morning you've
9 been told about the difference between induction
10 of allergy and elicitation of allergy. Of
11 course, those of you who are not fatigued from
12 your travel, you understand that that
13 separation is highly arbitrary. Otherwise, it
14 has to start somewhere. That's called the
15 induction. It's consequence is the
16 elicitation. But obviously the same skin, the
17 same body, the same epidermal cells, the same
18 Langerhans cells, are involved. And all we're
19 really talking about here is really a
20 simplification, because it is enormously
21 useful in toxicologic considerations, but it

129

1 is the same event.

2 Now let's talk a little bit about
3 the practical points in looking at the new
4 chemicals that our government and governments
5 will be looking at. Well, the first thing is
6 that we do know from evidence-based
7 observations that the higher the concentration
8 that you apply, the more likely you are to
9 induce sensitization. That must be kept very,
10 very clear.

11 Second, once you're induced, you
12 will frequently, but not always, react to much
13 lower concentrations. Otherwise, once you're
14 sensitized, once you're really induced -- and
15 there are probably many exceptions to this --
16 if you get a rash with lower concentrations
17 later on, we say you're sensitized.

18 Next, what can we say about
19 elicitation. Well, you have to be induced.
20 But it turns out for some chemicals such as
21 the experimental allergen which is used in

130

1 industry also, dinitrochlorobenzene, the first
2 application can sensitize you. So at the site
3 where you apply it, as soon as 7 to 10 days
4 later, two weeks later, you can spontaneously
5 get a new dermatitis.

6 So, obviously, what's really
7 interesting is that most of the chemicals we
8 deal with aren't that potent. And we don't
9 fully, we don't really in any way adequately
10 understand why can somebody deal with a
11 chemical for 10 to 60, 70 years and then
12 suddenly get a dermatitis. That is in the
13 realm of the unknown at the moment, but a
14 great deal is known. So I'm going to
15 emphasize what's known.

16 Now, in this particular series of
17 slides, I'm going to introduce some very
18 simple ideas but that are inherent in reading
19 and understanding the evidence for allergic
20 contact dermatitis. It's a little bit
21 cumbersome only slightly. I realize that,

131

1 even though we tried to make these overheads
2 large, in the back might not be able to see
3 it. In fact, I have to put on my glasses.
4 But I'm now going to begin to talk about dose.

5 In oral dosing, all of you know that
6 we orderly describe the dose as milligrams of
7 a dose. If it's a drug that has a fine
8 margin, a small margin, between the effective
9 and toxic dose, we don't usually just say take
10 50 milligrams. We say adjust the dose either
11 for body weight or for body size. Otherwise,
12 meter squared or weight in pounds or
13 kilograms. We really need to do the exact
14 same things for skin.

15 We're not yet sophisticated enough
16 to do it for body area or for body weight, but
17 we are sophisticated enough, both in one field
18 that work in, namely percutaneous penetration,
19 and now allergic and irritant dermatitis, to
20 express all doses in mass/unit area.

21 It's critical that you know that

132

1 because the literature that you're going to be
2 depending on when you advise the staff at
3 government agencies, when the staff read that
4 literature, they're going to be dealing with
5 units like percent; they're going to be
6 dealing with units like parts per million; and
7 they're going to be dealing with units like
8 milligrams or micrograms per centimeter
9 squared of skin. So if you don't know how to
10 convert that, you will get lost.

11 Now fortunately for those of you who
12 are sitting anywhere but in the front, the
13 calculations are in the handouts which are
14 readily available to you.

15 Now, the next point that I'd like to
16 emphasize -- could you just go back one
17 second? -- is that in many of things that
18 you've heard about this morning, we're talking
19 about cutoff points. What is the threshold
20 for allergy? We deal with this intuitively.
21 And, certainly, people like one of your

133

1 panelist at the FDA who dealt with the skin
2 part deal with it routinely, many compounds
3 are sensitizing in huge percentages of the
4 population.

5 Let me give you an example,
6 benzyaleal peroxide is the most widely used
7 the topical agent in the treatment of
8 relatively mild acne. It was available first
9 as a prescription. Now in almost all of the
10 world -- I'm sure there's some country that's
11 an exception -- over the counter. When you
12 put benzyaleal peroxide in some of the tests
13 that you've heard about, you will sensitize
14 one out of every two panelists.

15 Now, Dr. Jacobs wouldn't be very
16 happy with our using BPO if it sensitized 50
17 percent of all the people who used it. It
18 clearly doesn't. But that lead then to very
19 careful examination of many phenomena that are
20 involved.

21 Now you may say, well, Howard, how

134

1 the heck did you -- were you responsible in
2 any way for using a chemical that sensitized
3 50 percent of the people in a six-week test?
4 Well, luckily, BPO was used before I came
5 around the scene. And only after it was
6 around and we were trying to develop data
7 bases that we could interpret, did we do the
8 human test.

9 When we did the human test, that's
10 what we found out. And we were terrified. So
11 we then did careful epidemiologic studies
12 looking for sensitized people. And we do
13 think that benzyl peroxide sensitized some
14 human beings. But we think the rate is
15 somewhere between 1 in 10,000 and 1 in 100. I
16 hate to give you that large of range, but
17 that's the knowledge of our epidemiology. So
18 we're going to be talking a great deal about
19 what we know about these threshold levels.

20 Now, when we talk about a dermal
21 dose metric, I'm going to try to take you as

135

1 how to go from the patch test data to what is
2 typically presented in either percent dose, or
3 if it's a proper scientist and I guess I'm not
4 proper because I make this mistake all the
5 allergic contact dermatitis, in molarity. You
6 have to realize that there are different types
7 of patches. We'll be talking about those.
8 And you can put various amounts of material on
9 the patch.

10 In the old days, and many
11 laboratories still, like creatures of habit
12 like the old way, we took pads, usually
13 nonwoven rayon was the most common pad. We
14 didn't always cut them to the exact size. And
15 then we dosed them. Today most of the more
16 sophisticated work that will help government
17 agencies are not done with a little pad like
18 that. They're done with chambers which with
19 proper pressure and a proper adhesive do two
20 things. Number one, they give you occlusion
21 which seems to be necessary to make these

136

1 tests work well. Number two, they limit the
2 area so you really know something about dose.

3 In our irritancy testing, this was
4 the breakthrough in getting reproducible data,
5 limiting the area. It sounds so simple. I'm
6 sure a lot of you are saying, Howard, why
7 didn't you do it in 1898. First of all I
8 wasn't here in 1898. And second of all, many
9 simple things are simple once you know them;
10 but they're not simple before that.

11 Now, we now then that you need for
12 elicitation of sensitization a certain surface
13 area. We'll talk more about this. And for
14 induction of sensitization, you need a certain
15 surface area. If you go down now to the
16 middle, it's mass/unit area. The mass is
17 usually explained in weight or involvement in
18 volume per centimeter square.

19 And so if you see then that next
20 line, for those of you who can see it, and
21 it's in the handout, it allows you if you know

137

1 the charge what's put in the chamber, if you
2 know the surface area and the people who make
3 them tell you the surface area if you don't
4 have a ruler, and you can then simply convert
5 everything into what is the threshold dose or
6 what is the response dose in micrograms or
7 microliters per cm².

8 Here is particularly a little more
9 complicated example. Again, I'll break my
10 rule about not talking about chromate. But
11 when you look chromate, you can express it in
12 terms of potassium. So in Europe, the patch
13 test concentration is one half a percentage of
14 potassium dichromate. That is, obviously, to
15 all of you in this audience exactly equivalent
16 -- it's another synonym -- for 5,000 parts per
17 million of are potassium dichromate.

18 So if you take the chamber that is
19 most widely used internationally to make not
20 induction, but to make the diagnosis, it's a
21 little aluminum chamber developed by the late

138

1 Vaco Perola, and commercialized under the name
2 of the Finn Chamber because he lived in
3 Finland, obviously, a great Dane.

4 When you take that, if you really
5 stuff it, and we usually don't. We usually
6 load it about with about 17 microliters. But
7 to make the math easier, 20 microliters
8 applied to the surface area in the patch is a
9 0.5 cm². And you then can do your
10 calculations. So for now on, whether you read
11 percent, parts per million, or ug/cm², you can
12 go from study to study to try to determine how
13 to use the numbers that you've got.

14 Now, there have been some technical
15 advances. This is one that with Torkil
16 Fisher, who is a guest scientist in our lab
17 that we worked on, I never received any
18 royalties, so I didn't sign conflict of
19 interest comment because I'm not on your
20 panel, but I waived all royalties so I could
21 talk about it in public. That's how clever we

139

1 thought it was 20 years ago when we did it.
2 And the idea is clever. It just turns out it
3 hasn't helped us very much.

4 When you look at one of the sets of
5 data that you're going to be shown with
6 chromate, it is with another test, which is
7 meant to be easier for the patient, for the
8 doctor who applies it, or really it's the
9 nurse, and is meant to be more scientific.
10 And it is more scientific in terms of
11 pharmaceutics. It is simply the compound, the
12 allergen you're looking at -- and there are
13 only two dozen available, so it doesn't help
14 you with the other several hundred allergens
15 -- and it's put on a piece of paper where you
16 can get a homogeneous distribution.

17 Next it is prepackaged, and it's
18 sold so the technicians simply opens it like
19 they open a stick of gum wrapped in paper.
20 And you put it on the back. And here are the
21 metrics. This is 23 of potassium dichromate,

140

1 6.7 micrograms per patch. You've got the
2 surface area. And then you know that the
3 total dose 8 micrograms of hexavalent chromium
4 per cm². These are the sorts of simple
5 calculations you need to determine the
6 relevance to your questions or to the Agency's
7 questions of the new induction and elicitation
8 data.

9 What do we know about the
10 relationship then now that we've gone to mass
11 per cm² of inducing sensitization. Well, we
12 don't know as much as we would like to know.
13 And I'm going to share with you in brief the
14 concept. I'm going to give you a reference
15 for those of you who want to read it more.
16 But for those of you in the audience who are
17 going to be solving the problem for the
18 future, I'm hoping that you're going to be do
19 10 more experiments because the data base is
20 relatively small.

21 The reference that I'm referring to

141

1 is Upadhye, Contact Dermatitis 27218. What
2 this young medical student did was very simply
3 was to look -- and the indexes don't help you
4 -- hand searching, speaking to colleagues in
5 dermatology who know the literature, what do
6 we really know. Well, I'm going to give you
7 some examples.

8 In the early 1930s, Schnitzer, an
9 American, really asked the right question.
10 And this is what he found out. Just remember
11 now this is only 30 years after the idea of
12 allergic contact dermatitis was proposed and
13 it was before Bonneviv told us to use the
14 routine series.

15 What he did is he took a group of
16 guinea pigs described there as A, B, and C.
17 At 1 percent applied to the entire guinea pig,
18 he sensitized 13 of 50 guinea pigs. That's a
19 pretty good number because he used a great
20 deal. He was the first one to ask the
21 question: What is the relationship of

142

1 mass/unit area.

2 He then did the exact same study.

3 But he only applied it to a part of the guinea
4 pig. It happened to be 4 or almost 5 cm².

5 And he sensitized the same number of animals.

6 Well, where did that lead to today in terms of
7 mechanisms of allergy and practical
8 ramifications?

9 Well, where it lead to today is
10 today -- and there was some brilliant guinea
11 pig studies done by the late Fray and Dewark
12 in Switzerland -- it lead to the idea that
13 you've got to get a critical mass to the
14 epidermal cell, a critical mass to the
15 Langerhans cell, and a critical mass to the
16 lymph node. When you look at the data that
17 you're going to be shown in the days, weeks,
18 and months and years to come, you have to
19 really look then do you ever get the critical
20 mass to a small enough area that you're going
21 to induce allergy.

1 Now, my belief is that the reason we
2 are able to deal with many allergens as
3 successfully as we deal with them is we never
4 -- and I'll give you some of the exceptions --
5 get to that critical mass. And that our risk
6 management is, if we need to use allergenic
7 chemicals, if they subserve a human need, well
8 then we'd want to get them to a dose that does
9 not induce sensitization.

10 Now this was the early 1930s. And
11 in the next slide, I'll give you another
12 example because, later on Albert Kligman at
13 the University of Pennsylvania -- I don't know
14 where he got the intuition -- but there was
15 lag period of 20 years before the second
16 experiment was done. He took a chemical
17 monobenzyl ether of hydroquinone.

18 For those of you who read the
19 National Inquirer, which happens to have the
20 largest circulation of any paper in the United
21 States, but I've yet to find anybody who will

144

1 tell me that they read it. This is the
2 chemical that has been alleged to have been
3 used in a very well known American performer
4 to bleach the skin. It is very minimally used
5 in the United States. But that tends to
6 introduce -- you get some interest at least in
7 medical students.

8 With monobenzyl ether of
9 hydroquinone, Dr. Kligman went from the guinea
10 pig of Schmitzer, because there could be
11 species difference, and he applied to one
12 forearm, 3 grams of 20 percent MEQ and
13 sensitized 13 percent of the population.

14 When he took the same material,
15 which is a trick because it's hard to get 45
16 grams of anything on you. I guess Dr. Kligman
17 was very dedicated as a young scientist. He
18 spread it on. I can't get more than 30 grams
19 on most people. Maybe he had large
20 volunteers. He didn't tell us that. He
21 applied it to the whole body and he sensitized

145

1 nobody.

2 Again, the principle is it's
3 mass/unit area. It's concentration. I hope
4 that's clear. I wish I could give you 35 more
5 examples. I can't. The experiments haven't
6 been done. What has been done, though, is in
7 the reference that I gave you. And so we'll
8 go on.

9 The next slide is simply another
10 example of another set of experiments again
11 summarized in the same paper. We added the
12 statistics that weren't done. The studies
13 were done so long ago. And again you find
14 that there is a threshold dose. And here is
15 it with four compounds.

16 Now, I'm going to briefly comment on
17 children. Because one of the things that I've
18 learned about being here today is clearly I've
19 got to work on this some more. But I'd like
20 to try to put some of the numbers that are out
21 there into perspective.

1 There are very few bits of data in
2 my mind that are easily interpreted by
3 ordinary people like me because I'm not Albert
4 Einstein. Perhaps the most interpretable, but
5 even this isn't completely interpretable, is a
6 study in children in which one of my
7 colleagues, now retired for some years, was
8 interested in preventing poison ivy, poison
9 oak, and poison sumac. It was one of his
10 lifetime's works.

11 What Dr. Epstein did is he got ahold
12 of one of the many chemicals in these groups
13 of plants, PDC or pentadecyl catechol which is
14 one of the allergens. And he tried, because
15 he was interested in a vaccine so to speak.
16 He was trying to prevent allergy. This is
17 IRB's, but using informed consent as was done
18 in those days, three groups.

19 What I would like you to see and
20 this isn't a perfect experiment because some
21 of these children could have been sensitized

147

1 with the plant and not known it before. So
2 it's a weakness in the study, but it's the
3 best purposeful data that we have. You'll see
4 that in the infants under one in sensitized
5 with the same dose, 30 percent. From 1 to 3
6 years, he sensitized 50 percent of 24
7 children. And from the age of 3 to 8 years,
8 he sensitized 78 percent of 37 children.

9 Well, how can you interpret this?

10 Well, you can interpret this in a way by
11 saying easily -- you can glibly say that
12 forget the fact that they could have been
13 exposed and the older ones might have had more
14 hidden exposures than the 1 year olds or the
15 6-month olds who weren't crawling out of the
16 bushes yet. You could say that children are
17 relatively protected.

18 In my view, that would be an over
19 statement. But you probably can say that for
20 one chemical, not every chemical because we
21 don't know it. For one chemical that maybe

148

1 children have a less-developed immunologic
2 system. Please don't ever quote me as saying
3 that as a fact. It's a working hypothesis.

4 The only other experiment that I
5 know of, and this is a mea culpa, in the 60s
6 with two medical students, the senior one was
7 Walker and the other one was Smith, we
8 sensitized several hundred schoolchildren
9 getting ready for their physical examinations
10 to go to summer camp and their parents. We
11 were interested in another question. We were
12 interested in the question: Is there a
13 genetic predisposition? Today I wouldn't ask
14 such a silly question. There's a genetic
15 predisposition to everything, I suspect.

16 When we did the study, we found out
17 that with the experimental allergens we used,
18 and we chose something that they would never
19 have been exposed to, to experimental
20 allergens that aren't used by ordinary human
21 beings. One was DNCB, dinitrochlorobenzene.

149

1 The other was NDMA. And we showed, yes, that
2 if a mom and a dad or the punitive mother and
3 even the more punitive father were sensitized
4 by this application, the children were much
5 more likely to be sensitized and vice versa.

6 Now that I realize that everybody is
7 interested in children, we're going to go see
8 if those 1965 or '68 data books are still
9 available so we can see the sensitization rate
10 in children versus adults. That is,
11 unfortunately, what it's going to take.
12 Because in the other experiments just patch
13 testing, there were two variables that could
14 not be mentioned in the overall this morning.

15 One variable is we don't know enough
16 about the role of irritancy of a patch in a
17 four year old compared to a 40 year old and an
18 80 year old. They could be profoundly
19 different. There are studies done in our lab
20 comparing people from age 20 to 30, that
21 decade of life, to people 70 to 90; and there

150

1 is a huge difference. You would think that
2 the older you got, the more easily it would be
3 to irritate skin.

4 But with the model irritant we used
5 -- we didn't study 10,000 irritants. It was
6 the surfactant sodium laurel sulfate. The
7 older people reacted less than the younger
8 one. There seemed to be something in
9 evolution that seemed to protect you.

10 So when we do look at the patch test
11 data, such as the Whorl paper that you heard
12 earlier this morning, it's very difficult to
13 interpret until we know that irritancy data.
14 Just as you think about the difference in
15 surface area between a four year old and some
16 of you six footers in this room, there could
17 be differences just from that.

18 Now in the next slide, I give you a
19 reference, a lovely Thai professor of
20 dermatology, spent a year in our laboratory.
21 For those of you who cannot pronounce his name

151

1 -- nobody in our lab could pronounce it. So
2 he is known by his nickname, Charchai.
3 Charchai in contact dermatitis reviewed all
4 the literature including this data of
5 Epstein's. And all we would conclude is that
6 the data is weak.

7 The strongest experiment is the
8 Epstein experiment. And that on balance, all
9 we could say, until more information is
10 generated probably from purposeful
11 sensitization which is not easy to get people
12 to do, that children at least in the data that
13 we saw are very much like adults.

14 Now I'd like to emphasize another
15 critical issue in interpretation. In much of
16 the data you've heard about in order to get
17 answers easily in small populations we have to
18 use trickery. The trick we use is we apply
19 the chemical with occlusion. Naively, decades
20 ago it was believed that the reason this
21 worked is that it drove more of the chemical

1 into the skin. I won't get a diversion today.

2 But my colleagues who are very
3 knowledgeable in this field, will tell you we
4 now know, now that we not only do biological
5 experiments but flux experiments, when you
6 measure penetration, many chemicals do not
7 have increased penetration with occlusion.

8 But we know in man that, if you want
9 to put a single application on for many
10 chemicals and get a positive that will give
11 you a clue to allergy, you need to occlude it.
12 The mechanisms are not completely understood
13 by any means. One of which was thought to be
14 penetration, but it is not only the
15 explanation. There are many other things
16 waiting for people like you to figure out.

17 In the guinea pig and in the mouse,
18 this is not necessary. We don't understand
19 the differences. If we had to make up an
20 excuse, a reason for a medical student, we'd
21 say the mouse skin and the guinea pig skin was

153

1 more permeable. Unfortunately, that's not
2 true. There are parts of the guinea pig skin
3 that have very similar permeability to man.
4 But medical students need to be given quick
5 answers before they get too terribly smart.
6 They're always cleverer than their faculty.

7 Now, let's talk about some of the
8 things that have happened that might help you
9 in your evaluation of the data that's
10 presented to you. Well, one of them is most
11 of the allergens that we test today, except
12 for the TRUE Test are suspended in petrolatum.
13 If it doesn't have solubility, this is easy.
14 It's much easier to deal with petrolatum on a
15 little baby patch than it is water.

16 But, please, remember that in the
17 few studies that have been done, that the
18 literature up to 10 years ago, there could be
19 as much as a seven-fold difference between one
20 patch and another in the amount of actual
21 nickel that was in the petrolatum or Vaseline.

154

1 Obviously, in science, a seven-fold difference
2 is substantive. It has to be dealt with.

3 So when you look at the patch test
4 epidemiology, you have to keep that in mind.
5 And when you look at a given patient, we look
6 at a given patient, we have to keep that in
7 mind.

8 I'm happy to say once that was
9 published, the manufacturers are now doing a
10 better job. We spot check this for one
11 allergen three years ago with Hosteneck in our
12 laboratory and the variation was down
13 dramatically.

14 Next, let's talk about
15 pharmaceuticals, pharmaceuticals. First,
16 clearly we express, at least in the T.R.U.E
17 Test, the dose in mass/cm². At least in the
18 TRUE Test, which is a very small part of
19 what's out there, only two dozen materials, we
20 have gotten fairly homogeneity even in
21 petrolatum. If the laboratory is looking for

155

1 homogeneity, they really can overcome the
2 great problems of a decade ago.

3 Let's talk about reproducibility.
4 Because if you have any confidence in the
5 numbers that you're looking at to make
6 important policy judgments, what can we say
7 about sensitivity and specificity. I'm not
8 going to give you all of the references. I'll
9 simply say that 10 years ago we were very
10 unhappy with our reproduceability. Otherwise
11 our ability to get the same answer on the
12 left-hand side of the back and the right-hand
13 side of the back.

14 I'm happy to tell you that we have a
15 paper in press now that, if you have the same
16 grader, the same technician, putting on the
17 patch, we're now able to get left-right 95
18 percent concordance. But you're going to be
19 used data that was not developed just by one
20 laboratory. You're going to be looking at
21 data developed by many laboratories. And you

156

1 could well make it a subtask of a committee to
2 look at the lack of reproduceability in the
3 older information. I'm always interested in
4 solving the problem for today and tomorrow. I
5 think it is largely solved.

6 When you read the literature on
7 sensitivity and specificity, please understand
8 something. That unless you are a guru in this
9 area or you have a direct access to Moses,
10 Mohammed, or Jesus, we don't, except for a
11 very few exceptions, know how to really define
12 sensitivity or specificity because of the
13 complexity of clinical allergic contact
14 dermatitis in man.

15 We can do it beautifully in an
16 experimental animal. We can do it beautifully
17 in human beings that we sensitize. But when a
18 patient walks in the street with an unknown
19 eczema and is patch-tested by a dermatologist
20 with 60 materials and has 3 or 5 positives, we
21 all too often cannot determine sensitivity and

157

1 specificity.

2 Let me give you an example. When
3 somebody is patch-tested to the routine series
4 in most of the world, they're tested with
5 something call (inaudible), one of the hair
6 die chemicals. It sensitizes a certain number
7 of people. If you take a look at those people
8 who are patch-test positive, many will tell
9 you, oh, yes, I die my hair all of the time.
10 Well, how are we going to deal with the
11 sensitivity and specificity there because the
12 gold standard is the clinical disease. They
13 don't get the clinical disease.

14 Now, there are many explanations,
15 probably the most important of which is, they
16 don't get enough through their skin or they're
17 not sensitive enough to get the clinical
18 disease. Even in the best use tests which
19 we'll be talking about, when we're almost
20 certain that the people are allergic, because
21 we only use limited dosing in the use tests,

158

1 almost half of those people will never give
2 you a positive use test. So when you look at
3 sensitivity and specificity in your data, keep
4 this in mind as you look at every data mass.

5 Now, I'm going to bring in another
6 subject now which may be a little bit
7 peripheral to some of your interest, but I
8 think central to policy in the future. I
9 would love to define allergic contact
10 dermatitis in man mechanistically. I know or
11 believe it is Type 4 Jell Coombs
12 hypersensitivity. It's not usually Type 1.

13 But I know that if I try to
14 passively transfer with white blood cells to
15 man, this has never been convincingly done.
16 So until we develop new laboratory insights,
17 which we don't have now, the definition of
18 allergic contact dermatitis in man is really
19 not mechanistic. It's operational.

20 The operational definition, and some
21 of you might have seen our papers on this, is

159

1 to simply say that many patch tests we don't
2 know how to clinically interpret. I've
3 simplified the algorithm for you here. If
4 someone is patch-tested to mashed potatoes and
5 is positive, do they get a rash when they
6 handle mashed potatoes. Well, since I know of
7 nobody who is allergic to mashed potatoes, I
8 don't think they do. So you need the history
9 that correlates with the patch test.

10 For most allergens, you need a
11 clinical outcome. When you remove the
12 allergens, with a very few exceptions, you
13 expect the person to get well.

14 Next a very valuable new tool,
15 enormously expended in the European community,
16 and Torkil Menne will be telling you a great
17 deal about this, is the use test. The patch
18 test is artificial. It's a tiny area. It's
19 occluded. The occlusion adds to irritation.
20 The patient and the doctor gets a great deal
21 of information in setting risk assessment.

160

1 And you're going to be looking at this in the
2 future because what you're really interested
3 in is not what happens under occlusion but
4 what happens in use. Because use then brings
5 in the percutaneous penetration and many other
6 biological events that the guinea pig and the
7 mouse do not bring in.

8 The use test is simply -- it's gone
9 through generations. It's now reasonably
10 standardized, applying the material at one or
11 more doses to one anatomic site. It's a fair
12 amount of work. Once or twice a day in our
13 laboratory due to some work from Dr. Menne's
14 laboratory, we now go up to 28 days. But,
15 please, remember if you look at some of our
16 publications 10 years, we stopped at 7 days.
17 We didn't know.

18 But even if you take most of the
19 allergens that we think are allergens, we have
20 yet to get up to a hundred percent of the
21 people who get a clinical disease. Again, we

161

1 think it's probably subthreshold.

2 Now, I'm going to talk now about how
3 a number of different groups in the world are
4 beginning, not as rapidly as we would like, to
5 look at new ways of risk assessment with
6 allergens. I'm going to start by saying that
7 whenever a new chemical is given, we wouldn't
8 dream of testing it without looking into the
9 chemistry and the biology. The quantitative
10 way of doing this, and it was done
11 qualitatively in the 30s by some brilliant
12 people, the qualitative way today, of course,
13 is called QSAR, quantitative structure
14 activity relationships.

15 What is the value of that in setting
16 policy? Well, the value is it tells you so
17 much. And I'll just give you one example. If
18 you look at related chemicals and you know
19 they've been used in man, what has happened.
20 It's even richer if you know the doses that
21 was used in man. What is the experience in

162

1 the lymph node? What is the experience in the
2 guinea pig? And it even helps you in some
3 chemicals if you don't know the patch-test
4 concentration and you don't have the
5 facilities for working it out on human
6 volunteers, you can often make a shrewd
7 assessment by just looking at closely related
8 chemicals.

9 Now, let me give you an example that
10 I've been through at least 15 times in my
11 career and I suspect will occur another few
12 times. We use large numbers of quaternary
13 ammonium compounds. You guys, you women, you
14 use them too. If you've ever used Zephrein to
15 clean your skin when blood is drawn, if you
16 ever used any of the first aid creams to clean
17 your skin if you've cut yourself, if you've
18 ever used the materials that soften fabrics in
19 your washing machine, if you've ever, in the
20 women, used anti-stat so you're going to have
21 beautiful hair days, you've used quaternary

163

1 ammonium compounds.

2 When you look at the QACs, if you
3 put them in these various tests, they're
4 almost always strongly positive, suggesting
5 that they're potent allergens. But, in fact,
6 if you know the biology, if you know cutaneous
7 biology and dermatotoxicology, you'll know
8 that a very, very shrewd Swedish investigator
9 in the 60s showed that benzylcodium chloride,
10 as an example of the group, cannot be
11 patch-tested with normal controls. If you
12 take a hundred controls, which he did, he
13 found out that a dose that was negative in 70
14 of them not only produced redness and swelling
15 in a few of them, but in a few people it
16 produced blisters. So it doesn't have a
17 normal distribution of irritation.

18 So the reason I bring this up is
19 that there is so much human experience, that
20 if you take advantage of it, not just reading
21 the abstracts, but really read the

164

1 observations of the shrewdest observers we've
2 got, many of the things that seem silly in
3 dermatotoxicology begin to make sense.
4 Benzylcodium chloride is only one such
5 example.

6 Now I'm going to briefly go into
7 some of the principles of the predictive
8 testing. The first test is named after a
9 deceased FDA official. He lived into his 90s.
10 He devised many tests. He was another Albert
11 Einstein like Jevelin (ph.) at the agency.
12 Sheer intuition. He had no data. All he did
13 was speak to Carl Langsteiner who was just
14 about to win a Nobel Prize for figuring out
15 how you can safely get a blood transfusion.

16 Langsteiner was dealing with leg
17 sensitivity. Langsteiner simply suggested to
18 Draize, just inject the material because that
19 way you know it penetrates a group of times,
20 wait a while, and challenge. It's quite
21 interesting today that there's one laboratory

165

1 I know -- there is only one left that still
2 uses it except they challenge topically. You
3 can use this test and get all sorts of
4 information. The test is no longer used.
5 It's still an official FDA test. Nobody
6 bothered to remove it from the list.

7 And you can actually do multiple
8 doses so you can determine the threshold for
9 induction and you can do, if the animal is
10 sensitized -- it's the guinea pig -- multiple
11 doses and get elicitation. It's of historical
12 interest, but it would work brilliantly. It's
13 just not the mini skirt of the year.

14 The second test that came along, Ed
15 Buehler, who is living in retirement in the
16 Cincinnati area, working at Proctor & Gamble
17 for many years, said that, well, why inject
18 the material. Why can't you just put it on
19 the surface of the skin. So all the Buehler
20 test is simply repetitive applications like
21 the Draize test with occlusion. And he gives

166

1 you great recipes and great details exactly
2 how you can occlude it. Very few people who
3 use the test follow his details. So if you
4 get a false negative, he says it's you just
5 didn't occlude properly.

6 Next you do it several times. A
7 waiting period like in the Draize test. You
8 challenge it. This is a dose response assay.
9 In our laboratory, I've dosed many groups of
10 guinea pigs at multiple doses to induce,
11 multiple doses to challenge. It clearly is
12 dose-response related for induction and
13 elicitation.

14 The next person to come along was in
15 the 60s, sat down. He studied the work of
16 Draize. It's the late B. Magnusson working in
17 Al Kligman's laboratory. He then went to
18 Cincinnati and spoke to Buehler. And so
19 Buehler had him at the occlusion. But he also
20 knew, which is not so clear today, that
21 irritation sometimes, but certainly not as is

167

1 implied always, increases sensitization. So
2 we added irritation with sodium laurel
3 sulphate.

4 And then, because he was an educated
5 man, he knew that was going on in the
6 vaccines. And so just the way the human
7 vaccines have adjuvants in them, the adjuvant
8 that he used was Forines complete adjuvant,
9 which is mineral and tubercle bacilli. And
10 you can sensitize more animals. And in his
11 little textbook he gives you some of the
12 examples.

13 The Magnusson assay is still done in
14 some laboratories in various parts of the
15 world. It is usually thought to be more
16 sensitive, meaning you can sensitize more
17 animals. But even that isn't clear today with
18 another 30 years of history.

19 The last test which probably is the
20 only think, Torkil, that you might not have
21 heard of here so far, is my favorite test of

1 all of them at least in our laboratory. A
2 very shrewd Czech intuitive dermatologist
3 working for Hoffman Larouche and Jivodan in
4 Switzerland, now in retirement, said, look,
5 all of these tests have so many artifacts, can
6 we use the guinea pig in open applications, no
7 bandaging, no occlusion, no injections, and
8 get answers.

9 He was the first one when he first
10 wrote this up to stress dose. These are open
11 applications repetitively, challenge with open
12 applications, and multiple dosing. Since the
13 guinea pig is large enough, you can do several
14 doses in the same guinea pig. And with the
15 OET, which only a handful of laboratories in
16 the world use, you can gather irritancy data
17 as well as sensitization data, threshold for
18 induction, and threshold for elicitation.

19 So I would submit that before we
20 discard guinea pig testing worldwide, that a
21 few people study the massive literature that

169

1 has been built up. It is still very useful
2 and will solve problems that will not be
3 solved with any of the other assays.

4 Now, when Draize went to say
5 Lansteiner, he again, being an Albert
6 Einstein, figured it out. What he did simply
7 is he put multiple applications on the skin, 9
8 or 10 over three weeks, a rest period like you
9 have in the guinea pig, and a challenge. The
10 Draize repeat insult patch test is still
11 widely used, widely recommended by the FDA.
12 And in many countries it's widely used.

13 There are two tricks to it. Draize
14 didn't know that you needed occlusion in man.
15 Boy, that's a minor modification. Secondly,
16 he didn't know, but we now know, that, if you
17 use the use concentration, you often get a
18 false negative. You have to increase, as you
19 do in many toxicologic assays, the dose to get
20 the right answer.

21 Now, I won't comment very much about

170

1 the lymph node because you've heard a greet
2 deal about it. I would only suggest two
3 points in brevity. First, I would like to
4 simply say that Dr. Kimber, who is the driving
5 force behind much of this, is very, very
6 careful when he lectures about it and writes
7 about it not misusing a very clever assay. He
8 clearly tells you it is not for elicitation.
9 It doesn't measure elicitation. You can get
10 no dose information about elicitation. And,
11 secondly, he cautions you about
12 oversimplifying risk assessment with it.

13 The second thing is, if any of you
14 do use the local lymph node assay, I would
15 encourage you not to read the summaries or
16 abstracts. I'd go to the original ICCVAM
17 report which validated it. And I'd look at
18 all of the publications since -- and in my
19 case, the unpublished data is more interesting
20 than the publications -- to see how many cases
21 we have.

171

1 And it's clearly stated in the
2 report where the sensitivity and specificity
3 are not any where near 100 percent. There are
4 so many exceptions. And there are so many
5 more being discovered that it must be taken in
6 total context with the rest of the data and
7 not isolated and then denigrated because of
8 the isolation.

9 Now, I'm going to briefly talk about
10 the literature of the gold standard. What is
11 allergy, allergy contact dermatitis in man.
12 Well, we, using the cancer model of the World
13 Health Organization, IARC -- and Dr. Menne and
14 his colleague Diane Wilberg, have also written
15 on this -- believe that, like cancer, we know
16 only fortunately of a very few compounds that
17 produce cancer in man where we know of a
18 thousand compounds that produce cancer or
19 tumors in animals.

20 So what IARC has done, they have
21 tried to find a way, how did you deal with all

172

1 of these animal positive studies. Well, we've
2 developed a similar system for doing
3 evaluation of the dermatologic and allergic
4 literature on allergic contact dermatitis.
5 The reference is Benezra, Journal of
6 Investigative Dermatology. And, basically,
7 what we do is you look at each of the factors.
8 What are the controls? What is the clinical
9 data given in the presentation?

10 By doing this, you can
11 quantitatively or qualitatively make an
12 assessment. We use a six-point scale. Zero
13 we believe the chemical is not an allergen in
14 that publication. If it's five, I'm willing
15 to swear on every Bible there is that it
16 really is an allergen. But as a practical
17 matter, we do this with every journal or paper
18 that comes out, we rarely find papers that
19 reach four or five. Maybe one or two or three
20 a year. Most of them are down at the zero,
21 one, two, and three level.

1 So if any of you are going to work
2 in this and begin to interpret the best gold
3 standard, what's happening in man, I would
4 strongly suggest you make a quantitative
5 assessment of ever bit of the data.

6 I'm going to talk very briefly about
7 the epidemiology of allergic contact
8 dermatitis. Torkil Menne, when he was a child
9 and I was much younger, made the mistake of
10 writing a paper about this. And it's really a
11 very useful paper, Torkil. But, obviously,
12 there is a great deal of confusion.

13 Most of the epidemiologic studies
14 are aimed at people walking into a
15 dermatologist's office and now being tested
16 with up to a hundred materials. Many of the
17 positives do not connotate that they really
18 ever had allergic disease. It is a positive
19 that needs to be interpreted. Maybe they
20 developed delayed antibody, but they never
21 developed diseases.

1 What this Panel is talking about in
2 helping going forward, we're trying to
3 prevent, not necessarily antibody; we're
4 trying to prevent disease. And a simple
5 example is, since I'm a free blood donor for
6 many things in our laboratories, I have all
7 types of antibodies to penicillin because I
8 received impure penicillin as a kid and as
9 young adult. But I can tolerate penicillin
10 without any difficulty. You have to separate
11 the laboratory aspect from the clinical
12 aspect.

13 Now, what are some of the reasons
14 that we get positives that are not clinical
15 disease? Well, many of the materials that we
16 patch test with, including metals, are right
17 to get a single patch to relate to the
18 clinical diseases, we're near the margin of
19 irritancy. So specifically with chromate in
20 Europe, they use a half a percent, because
21 most of the European dermatologists get a

175

1 years training. In the United States, the
2 North American group, recommended half of
3 that, a quarter percent, because we don't give
4 our dermatologists very much training in this
5 area unless they take a fellowship.

6 The excited skin syndrome, we used
7 to think that irritation was local. But, in
8 fact, if you got a little hand eczema here,
9 which one out of 20 European-derived people
10 have, or if you have got three positive patch
11 tests on your back, you presumably release
12 chemicals, presumably cytokines, and then skin
13 elsewhere in the body suddenly becomes
14 hyper-reactive.

15 How do you know that? Well, you
16 just simply -- and we do this all the time
17 probably in 30 percent of the patients we
18 test. You wait two or three weeks; repeat the
19 patches one at a time; and 30 percent of them
20 disappear.

21 There's a huge difference when you

176

1 read the literature, you want to know where
2 was the patch applied. Because the late
3 Magnusson and Herzel showed 40 years ago that
4 there is a two-fold difference between the
5 upper back and the lower back. So at the same
6 concentration, you're going to get a very
7 different answer if the patch is at the upper
8 back or lower back. All of these are the
9 sorts of things that, if you really want to
10 work this area, paying attention to these
11 details are requisite.

12 Other factors, genetics. I told you
13 that in one study there is as, as you'd
14 expect, with two experimental allergens, a
15 genetic effect.

16 Next, age, it is age related. We
17 don't know as much as we'd like; but we know
18 that, at least for irritation, very old
19 people, and now I'm defining it as above the
20 age of 60 to the age of 90, are less reactive
21 than younger ones. They are also less

177

1 reactive to allergens. That has to be
2 factored in when you examine the data.

3 Disease, patients with lymphoma are
4 hyporeactive and we know a little bit about
5 the mechanism. But if you're people like Dr.
6 Jacobs who are dealing with leg ulcers, leg
7 ulcers are the best adjuvant, much better than
8 Forem's complete adjuvant, for sensitizing.
9 We don't know the mechanism. It's probably
10 multifactorial. Put a chemical on a leg
11 ulcer, and you're going to sensitize to the
12 weakest of allergens. Again, that all needs
13 to be brought into the risk assessment.

14 Now, I'm not going to say that I'm
15 an expert, because I'm not. I will say that
16 I'm experienced. So when I look at trying to
17 help people in our lab and elsewhere try to
18 make judgments as to how to use chemistry
19 efficiently to help man and animal, I
20 basically spend just as much time looking at
21 the QSAR as I do doing any study that I do.

178

1 We do the local lymph node assay.

2 We do human assays. We do diagnostic patch

3 testing. We do it all. I still spend more

4 time with a new chemical and an old one,

5 looking at what has been learned. Jadhasson

6 got us started. I look at the animal. And I

7 look at the clinical data and epidemiology, or

8 as strong as sit may be, and then try to make

9 a weight of evidence approach.

10 Now, in the last slide, I'm just

11 going to bring you two more references. We

12 really are beginning to make some

13 improvements. Otherwise by judging the

14 correct mass/unit area, and the reference is

15 Wesley, a medical student in our laboratory,

16 food and chemical toxicology for 357. We do

17 have examples. And I'll go into it very

18 briefly where clearly we're improving.

19 The data isn't perfect, but it's

20 looking good. First, from Denmark, we had

21 Sweden, the data with chromate, adding ferrous

179

1 sulfate to cement -- not in the United States,
2 in the countries where it's used -- the rate
3 of chromate cement eczema allergic contact
4 dermatitis is decreasing. It's not a perfect
5 experiment, but it's good. There's a doctoral
6 thesis from Denmark that will help you.

7 Second, a group in London has
8 monitored. They test many, many patients in
9 their system. And they've monitored two
10 groups of chemicals. One group is our
11 fragrance chemicals. As people are learning
12 to use fragrance chemicals more appropriately,
13 at least in one center, the rates seem to be
14 going down of new sensitizations.

15 Another one is nickel. Dr. Menne
16 was instrumental in legislation in Europe
17 changing the exposures to nickel. And
18 clearly, Dr. Menne told me -- I don't know if
19 he's published it yet -- it's uncommon to see
20 new young people in Denmark sensitized to
21 nickel. Another triumph.

1 The same group in London studied
2 some of the rubber chemicals that go into
3 rubber gloves. Those rates seem to be
4 decreasing. So really sort of the bottom line
5 is that I think we are beginning to make
6 progress because we're beginning, only
7 beginning, to understand some of the
8 principles. These principles are adding to
9 uncertainty.

10 The last reference that I'll give
11 you is Brukhman, B-r-u-k-h-m-a-n, Food and
12 Chemical Toxicology, 391125, because this
13 particular paper has the most complete
14 collection of dose response clinical and patch
15 test relationships that might help you in your
16 deliberations.

17 Now, I left out a few things that
18 came up this morning that I should of thought
19 of yesterday, so I don't have any overheads.

20 First, really in people who work in
21 this area, I know it sounds, the principle

181

1 simple, but the devil really is in the
2 details. When you look at the data, you
3 really have to know how it was produced.

4 Second, please don't think that
5 studies with chemicals that we know a great
6 deal about and we've studied 15 times and we
7 finally by get it right tells you that with a
8 new unknown chemical, because you're setting
9 policy for the future, that we're going to get
10 it right. In many of the studies, we've known
11 the chemical is an allergen in man. We've
12 tested and tested and tested until we finally
13 got it right for that chemical. That does not
14 predict that we are going to hit it right the
15 next time.

16 The weakest area, but we're making
17 progress in this, is the area of exposure.
18 Something applied to a leg ulcer is going to
19 be a very different risk that something in a
20 shampoo. But please don't think that
21 necessarily in a shampoo or in a soap is going

182

1 to wash off. But if it does wash off, you're
2 clearly going to get a smaller dose. So the
3 exposure and the percutaneous penetration data
4 clearly need to be further developed before we
5 are really going to understand it.

6 Now just to give you a challenge,
7 and, hopefully, my dermatologic colleagues are
8 going to simplify, give you the answer, give
9 me the answer, we've started testing with a
10 chemical that we thought was largely inert.
11 We're testing now with gold salts. Gold salts
12 are the second most common allergen in North
13 America at the moment in terms of patch test
14 cell mediated antibody. But it's almost
15 impossible, it is rare, to find anyone who
16 seems to have a clinical disease to gold.

17 Now, obviously, for investigators
18 like me, that's a challenge. But I think it's
19 also a challenge for you. When you look at
20 the data, the techniques that are being
21 recommended that out there, you always have to

183

1 look, what does the demonstration cell
2 mediated immunity mean to a individual
3 population and to the patient.

4 Ladies and gentlemen, thank you very
5 kindly. I hope I've stimulated some interest
6 in where this field is going. If there are
7 any questions, I would be happy to attempt to
8 answer them. If not, I'm sure my colleagues
9 will be able to answer it.

10 DR. HEERINGA: Thank you very much,
11 Dr. Maibach. And I'm sure you've stimulated
12 some questions. Dr. Handwerger.

13 DR. HANDWERGER: In my practice of
14 pediatric endocrinology, I see many, many
15 children three to eight years of age who have
16 eczema. If they don't have eczema, they have
17 got bruises all over their body and lower
18 extremities. How does eczema in these
19 children affect their ability to become
20 sensitized to chromium or other factors?
21 That's my first question.

184

1 DR. MAIBACH: Should I handle them
2 one at a time? I'm not Albert Einstein,
3 unfortunately.

4 Did everybody hear that? I'll
5 repeat the question. In a pediatric
6 endocrinologic, many patients atopic eczema,
7 all sorts of rashes. What do we know about
8 those types of dermatitis and their proclivity
9 to allergic contact dermatitis? Is that a
10 fair paraphrasing?

11 DR. HANDWERGER: Yes.

12 DR. MAIBACH: Okay. Intuitively, we
13 know the answer. So I'll give you the
14 intuitive answer. And then I'm going to give
15 you what we really know because they're
16 different. Intuitively, you must think the
17 way I thought, that the damaged skin had to
18 lead to an increased incidence, frequency, of
19 new sensitizations. I mean that's really
20 intuitively.

21 Because intuitively, if you didn't

185

1 know anything about the experiments in in vivo
2 percutaneous penetration, you'd think you'd be
3 delivering more chemical; and you'd also think
4 that the dermatitis is releasing the cytokines
5 which are essential in both Type 1 and Type 2
6 hypersensitivity. That's the theory.

7 Let's take a look at what we know
8 about the practice. The practice is very
9 unclear. Yes, certain people with atopic
10 dermatitis do get sensitized. But, in fact,
11 to many allergens, the best one that's been
12 studies happens to be the poison ivy, poison
13 oak chemicals. They have a decreased rate of
14 sensitivity. So the intuition and the real
15 human biology, we have a lot more to learn.

16 As a practical matter in my
17 treatment, in my evaluation of resistant
18 atopics who don't get well with dermatitis, we
19 do look for allergy. They probably are
20 partially protected.

21 DR. HANDWERGER: The second question

186

1 I have relates to cross-sensitization where
2 exposure to one compound may increase your
3 elicitation to chemically related compound or
4 perhaps even a chemically unrelated compound.
5 Can you comment on any aspect of that?

6 DR. MAIBACH: I'll comment on in
7 general and in specific. In general, it is a
8 devastatingly difficult area to work with in
9 man unless the man, the human being, is
10 exposed to a very unique type the chemistry.
11 So when you look at our clinical reports,
12 let's say with anything, you have got to look
13 and say, if there isn't clinical data
14 presented, did they really get a dermatitis;
15 did they really have a use test. It's often
16 uninterpretable.

17 You can study it, though, easily in
18 guinea pigs. In guinea pigs -- and this work
19 has been done with metals, and I can give you
20 the reference. It's a book called "Metal
21 Toxicology." And there is a chapter on skin

187

1 by John Bergern of Stockholm where he gives a
2 dozen experiments that he and his colleagues
3 have done.

4 What he does is he takes nickel and
5 cobalt, getting the purest nickel that he can
6 get his hands on, which is not, unfortunately,
7 100 percent nickel. And then after they're
8 sensitized, challenges them with both. So
9 there is a small body of data that helps in
10 this area. But it's the challenge from the
11 future for people like you to encourage us to
12 do more of these experiments. Is that
13 responsive to your question?

14 DR. HANDWERGER: Yes.

15 DR. HEERINGA: Dr. Meade.

16 DR. MEADE: I wonder if you'd mind
17 commenting. You somewhat advised to the Panel
18 and the audience to look with a little bit of
19 skepticism at some of the local lymph node
20 data and call their attention to going back to
21 the peer review report and looking at the

188

1 accuracy of that data.

2 I wonder whether you would mind
3 commenting on the similar evaluation that was
4 presented for the guinea pig data by that
5 report.

6 DR. MAIBACH: Was that heard by
7 everybody? I'll comment. I was specifically
8 asked to comment: If you go into the ICCVAM
9 list of chemicals that are clearly defined as
10 a plus in the columns -- I went over this this
11 morning -- there are many of those materials
12 that are probably not allergens. Because in
13 guinea pig testing, you need very
14 sophisticated laboratory directors and
15 readers, but mainly the directors, to know how
16 to separate irritation from allergen. Many of
17 those are false positives.

18 Conversely, many materials that
19 clearly produce allergen in man are negative
20 in all of these assays. They are negative in
21 the lymph node assay. They're negative in the

189

1 guinea pig. And they're often negative in
2 man. We don't have yet refined enough methods
3 to deal with them.

4 So when people talk about
5 sensitivity and specificity in an intellectual
6 sense and a practical sense, they really have
7 to go back and peer review each of the papers
8 with the degree of confidence method that I
9 mentioned which, unfortunately, was not done
10 because of time restraints in that ICCVAM
11 Panel.

12 DR. MEADE: I guess just then for
13 clarity, would you agree based that on that
14 panel report and what you're saying here, that
15 the accuracy of the local lymph node assay is
16 comparable to that of the guinea pig, for the
17 data that's coming out.

18 DR. MAIBACH: I would say that as a
19 general statement which the report said that
20 the methods are comparable. But they give you
21 different information.

190

1 Right now if I see a problem it's
2 the fact that people don't quite understand
3 how to interpret the data. I think one of the
4 biggest problems we have in the false
5 positives in the lymph node is so many
6 irritants give us a positive. I would hate to
7 lose all of those compounds to future human
8 use if it's a false positive due to
9 irritation.

10 DR. MEADE: Thank you.

11 DR. HEERINGA: Yes, Dr. Menne.

12 DR. MENNE: I enjoyed your talk,
13 Howard. My question is not for you. It's for
14 the wood industry.

15 I would like to ask the wood
16 industry, you have plans or you must process
17 this wood where you have plenty of workers
18 exposed to dust and to the wood-containing
19 chromate. And I would like to ask whether you
20 have any epidemiological studies following
21 such workplaces where you have incidences of

191

1 sensitization or elicitation.

2 And then after that, I have a
3 comment for the Fowler paper.

4 DR. HEERINGA: Dr. Youngren.

5 DR. YOUNGREN: This is Susan
6 Youngren. I just want to answer that. Mr.
7 Morgan will be addressing that as well as Dr.
8 Joel Barnhard from Elements will both be
9 discussing that later today. Can you wait
10 until they're ready to respond to your
11 question at that point?

12 DR. MENNE: Thank you very much.

13 DR. HEERINGA: Dr. Menne, did you
14 have something specific for Dr. Maibach at
15 this moment?

16 DR. MENNE: No, not for Howard. I
17 had a comment on the Fowler paper here. It
18 was getting around now. And --

19 DR. HEERINGA: Dr. Fowler will be
20 speaking later as well.

21 DR. MENNE: Dr. Fowler will come

192

1 here?

2 DR. HEERINGA: Yes.

3 DR. MENNE: Thank you.

4 DR. HEERINGA: Excuse me. I'm
5 sorry. Please go ahead. That's not the case.

6 DR. MENNE: I think it is a
7 beautifully done paper. But I will say that I
8 completely disagree with the conclusion. And
9 I think it's a very controversial conclusion.
10 The paper is a continuation of the Nethercott
11 material. And what these good colleagues have
12 been doing is they have made an immersion
13 study, that is to say an open test, of the
14 different chromium concentrations.

15 It's a hexavalent chromate, and it's
16 a concentration around 20 ppm. And in these
17 pre-sensitized individuals, they see reactions
18 after two to three exposures. And what they
19 see is that they see papules and redness. And
20 they also take biopsies. And they have
21 reactions particularly around the sweat ducts.

193

1 And the conclusion is that this is an
2 irritation.

3 And I will say that I completely
4 disagree with the conclusion, because this is
5 what we are seeing when we are making open
6 tests with chromate, nickel, and the other
7 compounds also. And the explanation the
8 irritation is that they have no control
9 material.

10 Now you should keep in mind that
11 they exposed the skin for two days or three
12 days with 20 ppm of hexavalent chromate. Our
13 usual patch test concentration under occlusion
14 is 1,770 ppm. So this is very, very far from
15 the diagnostic patch test level. And I think
16 it would not have been unethical to include a
17 control material. And I'm quite convinced --
18 I cannot say for certain.

19 But I'm convinced that a control
20 material exposed to this very low
21 concentration would have been negative. And I

194

1 think the Fowler study is actually in good
2 concordance with the David Basketter study
3 which was mentioned this morning where they
4 have reaction to hexavalent chromate in open
5 testing of 5 to 10 ppm.

6 We have a preliminary study with a
7 few patients also on dipping the hands where
8 we had reactions also down to 10 ppm. Thank
9 you.

10 DR. HEERINGA: Thank you, Dr. Menne.

11 Just for the record, the paper
12 you're referring to is the Journal of
13 Occupational Environmental Medicine, 41 No. 1.

14 DR. MENNE: Yes. I only mentioned
15 this because it was handed out.

16 DR. HEERINGA: No. That's fine. It
17 was distributed. That's fair. Dr. Maibach
18 and Dr. Younger and others will have an
19 opportunity to speak again. They are
20 scheduled to speak again.

21 At this point, I have 12:30. If

195

1 there are any urgent questions that you'd like
2 to ask of Dr. Maibach at this point.

3 Otherwise, I'd like to suggest that we adjourn
4 for lunch and then reconvene. Let's adjourn
5 for a one-hour lunch and reconvene at 1:30.

6 Thank you very much.

7 [Lunch break taken at 12:30 p.m.

8 Session reconvened at 1:35 p.m.]

9 DR. HEERINGA: At this point in
10 time, I'd like to call the Panel session back
11 to order. We're going to continue with our
12 public comments. Again, all representatives
13 or individuals participating on behalf of the
14 Forest Products Research Laboratory. And at
15 this point in time, I'd like to invite Dr.
16 Susan Youngren, who is with the ACTA group, to
17 make her comments.

18 DR. YOUNGREN: Thank you very much.

19 One comment I would like to make is
20 that the Panelist's will note that at the back
21 of their document is a list of errors that we

196

1 found in the background document. And we have
2 provided either corrections or comments on
3 that. That will, also, obviously be submitted
4 to EPA.

5 DR. HEERINGA: This is at the back
6 of your handout.

7 DR. YOUNGREN: At the back of our
8 handout of the slides, you'll find a list of
9 the comments.

10 For example, one of the comments
11 that was made early by Jonathan and Tim was
12 that the fact that they talked about the
13 treated articles such as treated wood do not
14 bear pesticide labels or other communication
15 methods to warn the population of hazards.
16 However, this is incorrect. Since 1998,
17 CCA-treated wood has had a label that warns
18 the population about the hazards of arsenic.
19 So we wanted to make sure that you understand
20 that the fact that it is a treated article,
21 that it is wood, exactly like we're talking

197

1 about with ACC-treated wood, it has been
2 bearing a label.

3 I'd like to go over just briefly a
4 couple comments and background on the MET and
5 uncertainty levels. One thing about the MET,
6 and we want to keep emphasizing this, that it
7 is an elicitation threshold. We have seen
8 documents that talk about the fact that
9 possibly this could be used for induction.

10 We don't want to ever talk about
11 that being used for induction or being used as
12 synonymous in some way that it should be used
13 as a protection method for induction because
14 it is so much lower.

15 It is an elicitation threshold that
16 elicits ACD in a hypersensitive population
17 which is important, back to the statement that
18 Mr. Aidala read, on the scope of protection
19 that EPA is dealing with. That we are dealing
20 with a very, very small amount of the
21 population, that the MET is based on results

198

1 from patch tests in humans, as obviously was
2 described by Dr. Maibach, regarding the fact
3 that it is already an identified, sensitized
4 population, and that you're applying it for 48
5 hours with an occluded patch.

6 The 10 percent MET which was been
7 described as an NOAEL for virtually all of the
8 general population, or a no observed adverse
9 effect level, because it protects the general
10 population which is really where the concern
11 that EPA has and 90 percent of the people that
12 are known to the hypersensitive are already
13 allergic. So you're obviously, depending on
14 the prevalence rate, covering a large percent
15 of the population from elicitation not just
16 induction.

17 So the scope of protection is
18 effected by the prevalence of sensitization in
19 the general population. And you need to know
20 that so you can look at the MET in the proper
21 format. Additionally, a peer-review panel

199

1 that was looking at an EPA document on how to
2 do risk assessment for the Office of Water --
3 this is EPA Office of Water -- a peer-review
4 panel described the 10 percent MET as
5 analogous to an RFD.

6 For those of you who have dealt with
7 RFDs, that's a reference doses. And reference
8 doses always already have safety factors
9 embedded in them. So that we've taken the 10
10 MET, said that it's analogous to an RFD with
11 protection factors. That would be the level
12 that you would be comparing to human exposure.
13 And we want you to be aware of the fact that
14 was a decision by a peer-review panel. What
15 we all believe are competent scientists due to
16 the fact that in many ways you are a
17 peer-review panel as well, looking at this
18 information.

19 Talking about uncertainty or safety
20 factors and the four factors that were listed
21 by Drs. Chen and McMahon, interspecies,

200

1 intraspecies, product matrix, and exposure, we
2 need to remind you that two of these are
3 hazards factors that are really dealing with
4 the toxicity issue. The intra- and
5 interspecies, one is obviously dealing with
6 the exposure portion if we're going to look at
7 it from a risk assessment standpoint,
8 obviously that's the exposure factor. And the
9 product matrix, or sometimes also described as
10 a vehicle matrix, can have a impact on both
11 the hazard as well as the exposure. And you
12 need to look at that when you're trying to
13 determine whether or not you need to apply the
14 factors or how you would apply the factors.
15 And we'll go into more detail on that specific
16 to ACC-treated wood a little bit later.

17 Keep in mind that we're saying that
18 these factors need to be chemical specific and
19 product-use specific. In other words, if I'm
20 going to apply these to ACC-treated wood with
21 chromium, it's going to be different than if I

201

1 were going to apply this to something that was
2 a chemical that was going to be used as a
3 cleaner of floors. The exposures are
4 different, the product use is different,
5 obviously; and the chemicals are different.
6 And you need to keep both of those in mind.

7 We also want to remind you that it
8 is critical to evaluate the weight of evidence
9 when determining the factor to use. You've
10 got to look at all of the pieces when you put
11 it together. And you need to evaluate the
12 potential impacts of being overly
13 conservative. Yes, we all want to protect.
14 But we also need to make sure that we're not
15 protecting to a degree that nothing is going
16 to exist.

17 I'm going to try to summarize just
18 the background that Howard and I went through
19 which is almost an impossible task because who
20 wants to follow up behind Dr. Maibach. But
21 these are the jobs they give me. So what I

202

1 would like to just mention and just a couple
2 things.

3 One is that the LLNA may be
4 appropriate for estimating induction
5 thresholds. But we believe that it's much
6 more validation is needed before it is applied
7 to quantitative risk assessment. And we've
8 actually given you some quotes exactly where
9 that has been stated.

10 The MET may be appropriate for
11 estimating elicitation thresholds, but we
12 don't believe elicitation levels are
13 appropriate for regulatory purposes. We don't
14 believe that they should go farther than that.

15 And then we talked about the TRUE
16 Test patches. And we actually have a picture
17 for you. We actually brought some so you can
18 see what they look like for any of you who
19 have not dealt with a TRUE Test patch, haven't
20 taken yourself in for one of those or have
21 taken a child, to show that in clinical

203

1 experience where applicable, in other words,
2 where you actually have the data, we believe
3 that these provide a lower bound on induction
4 thresholds, a lower bound on active
5 sensitization. And that safety factors are
6 already incorporated when we're talking about
7 these numbers. And we'll go into details how
8 the numbers come out for chromium as we go
9 along in our presentation.

10 I'd like to take this time now to
11 turn it over to Mr. Denny Morgan. And he's
12 going to talk to you a little bit about what
13 we call the world of wood and the world of
14 ACC.

15 DR. HEERINGA: Before you begin, Mr.
16 Morgan, are there any questions for Dr.
17 Younger from the Panel?

18 Oh, yes, Dr. Siegel.

19 DR. SIEGEL: Real quickly, can you
20 expound on what you mean by lower bounds so
21 we're all clear on that?

1 DR. YOUNGREN: Because the use of
2 patch tests has been shown not to sensitize
3 people, that if we're trying to determine what
4 level is going to sensitize someone, that if
5 you use the patch test and you don't sensitize
6 people that's a lower bound on what induction
7 level could be. In other words, it's not
8 going to be higher than that number.

9 Does that make sense? Do you want
10 me to try again?

11 DR. SIEGEL: Yes, please.

12 DR. YOUNGREN: In other words, if we
13 know that the TRUE Test patch is used at a
14 level of 20 -- I'm pulling a number out of the
15 air here -- then we would know, and no one
16 becomes sensitized using that, that 40 is not
17 going to be an induction level.

18 Excuse me. That 10 is not going to
19 be an induction level. I'm sorry. I went the
20 wrong way. It's not going to be.

21 DR. SIEGEL: Yes.

1 DR. HEERINGA: Dr. Bailey.

2 DR. BAILEY: I thought in some
3 situations -- is Dr. Maibach here?

4 DR. YOUNGREN: He is.

5 DR. MAIBACH: Yes, sir.

6 DR. BAILEY: Howard, sometimes in
7 the diagnostic test patch kit it was my
8 understanding that sometimes concentrations
9 are exaggerated to bring forth an allergic
10 reaction to certain substances. For instance,
11 the isodiazlones, where we know that, let's
12 say, 5 to 10 ppm, let's say, could be used,
13 hypothetically, safely in maybe a shampoo
14 product. If someone is experiencing an
15 allergic reaction to isodiazlone, I believe
16 the elicitation concentration is maybe 50 or
17 100 ppm?

18 DR. MAIBACH: Correct.

19 DR. BAILEY: Okay. But if you ran a
20 sensitization study with that concentration,
21 there's a high probability that it would

206

1 induce the population with 100 ppm in a HIRPT,
2 for instance. If you could comment on that.
3 I did some work in there with you.

4 DR. MAIBACH: Briefly, Susan's point
5 was that there is a phenomenon that we try to
6 avoid in diagnostic testing where one single
7 patch sensitizes. So in the past, we have
8 before we understood what we understand today,
9 we've had to lower concentrations on a number
10 of occasions. So it's a balancing act. Too
11 low, a single patch won't bring it out. Too
12 high, we actively sensitize with a few
13 materials. So I think that was her intent.

14 But the second part of your question
15 that we don't know of active sensitization to
16 either the .25 percent in petrolatum used in
17 the United States, the TRUE Tests used in the
18 United States, or the half percent INPEP used
19 in Europe, or the TRUE Test used in Europe.
20 They're both the same. Those TRUE Tests are
21 the same.

207

1 But I don't know without looking
2 into our data bases how many human Draize
3 repeat insult patch tests have been done with
4 the diagnostic patch test materials. I
5 suspect some have been done, but it's not in
6 my head. But as a general rule, we know that
7 in the Draize repeat insult patch test, if we
8 really want to get a positive to work
9 backwards from, we have to increase the
10 concentration of many of the materials we use.
11 Neomycin, which we sell at a half a percent
12 and at one time that sensitized many people,
13 in order to pick that up in the Draize Test,
14 we had to go up five- to ten-fold.

15 DR. HEERINGA: Thank you, Dr.
16 Maibach. Any other questions?

17 DR. HAYES: Can you help me with the
18 T.R.U.E Test? You indicate that the safety
19 factors are already incorporated. Can you
20 expand on that a little bit more?

21 DR. YOUNGREN: Well, again, it goes

208

1 from the fact that, if we are not inducing the
2 population with that, that we already have
3 enough safety factors incorporated because
4 we're not doing what everyone has expressed
5 concern about which is to induce additional
6 people, in our case, with chromium sensitive.

7 DR. HAYES: So you've taken into
8 account all these four safety factors or
9 uncertainty factors that you've listed earlier
10 in that test somehow.

11 DR. YOUNGREN: They have been
12 because they have been done for so many years.
13 We talk about interspecies versus
14 intraspecies. Is that what you're asking me
15 to go through each of those specifics?

16 DR. HAYES: The four of them, how do
17 you eliminate them in the TRUE Test.

18 DR. YOUNGREN: I think you have from
19 the standpoint.

20 DR. HAYES: I know you think you
21 have. How had you done it.

1 DR. YOUNGREN: Well, obviously, I
2 don't have to deal with intraspecies because
3 I'm not going from animals to humans. We can
4 talk about that. Intraspecies has been done
5 for so many years, and we can talk about the
6 fact that there are --

7 DR. HAYES: Intraspecies now?

8 DR. YOUNGREN: Intraspecies. So you
9 and I are different. There's no question
10 about that. Right?

11 How we're going to react is
12 obviously a question. However, if the fact
13 that we have 60,000 people in the United
14 States that are tested every year with this
15 test and we're not seeing additional
16 sensitization coming from that, and that's
17 every year using these; we believe that we
18 have covered the intraindividual variability
19 because of the numbers of people that have
20 been tested and we can multiply that back a
21 certain number of years.

1 From the standpoint of the product
2 matrix, they've worked very hard to get a
3 matrix that would deliver it without providing
4 additional irritation. So a matrix that is
5 simple, again, just looking at what the
6 exposure to the chemical is. That's a product
7 matrix.

8 From the standpoint of exposure, all
9 we're trying to determine from this test is
10 whether or not, based on the exposure that you
11 have, you will become induced.

12 That's the question I'm asking. And
13 I'm saying we will not. And that's how we
14 have dealt with the four safety factors that
15 they list, but they list them for being
16 applied to the LLNA. They have not listed
17 that those need to be applied. And, in fact,
18 I would take and look at them slightly
19 differently if I looked at just straight
20 safety factors for other things, these safety
21 factors that have been listed by others to be

211

1 applied to an LLNA to get it.

2 No. Inter and intra, obviously, are
3 ones that are normally used. But we can throw
4 any in any variety of them. I've seen up to
5 seven safety factors listed. And I have seen
6 up to, you know, this is on other pesticides
7 for systemic uses with a 10,000-fold safety
8 factor required. Those are really hard to get
9 to.

10 DR. HAYES: Thank you.

11 DR. YOUNGREN: Certainly.

12 DR. HEERINGA: Dr. Menne, Dr.
13 Meade, and then Dr. Pleus.

14 DR. MENNE: You said that as
15 industry you were more interested in induction
16 than elicitation. And I think that's a very
17 hard standard to cope with because you have
18 actually many people sensitized in the
19 population and Howard, for example, told us
20 that many react to gold without having any
21 skin disease.

1 And another example would be the
2 poison ivy. If you know you have the poison
3 ivy contactality, you will not go out in the
4 forest where you have the plant and you will
5 not have the disease. So what is actually
6 costly for the individual in disease,
7 disability, and what is costly for the
8 society, is not the inductionality that the
9 elicitationality. And I think when you have a
10 chromate which is actually ubiquitous in our
11 surrounding, I think it's of the utmost
12 importance that you think in elicitation and
13 not in induction.

14 DR. YOUNGREN: May I respond.

15 DR. HEERINGA: Yes, you may.

16 DR. YOUNGREN: The induction level
17 being of importance was actually what has been
18 stated to us by EPA as well in our discussions
19 of what to do and how to deal with this for
20 the fact that we're looking at the general
21 population and not a hypersensitive population

213

1 when you're trying to estimate how to set
2 levels for that. And that's where that came
3 from.

4 And I understand your concern. But
5 we'll also be -- you know, I know others will
6 be talking about the prevalence rate of
7 chromium sensitive in this country. And we'll
8 be talking about the fact that there is a
9 very, very low prevalence rate. It's been
10 stated as low as .08 percent. Which means
11 that, if we're looking at that, we're already
12 protecting 99.2 percent of the population
13 without doing anything if we're looking at
14 those that are being induced only above and
15 beyond what's already there.

16 And I know that there are a variety
17 of other numbers that have been listed for
18 what the population is that is sensitized.
19 But we're dealing with the published data at
20 this point.

21 DR. HEERINGA: Dr. Menne, did you

214

1 have a follow-up?

2 DR. MENNE: Just to follow up. I
3 think it's very difficult to quote this
4 epidemiology because the data is weak. It is
5 mainly extrapolation of data coming from patch
6 testing of patients. And there's a few
7 studies in Europe on background population
8 epidemiology. There are some studies from San
9 Francisco on nickel and neomycin. But
10 there's no study on the general population
11 epidemiology in the U.S. on chromate. It's
12 not done.

13 DR. YOUNGREN: Thank you.

14 DR. HEERINGA: Dr. Meade has a
15 question.

16 DR. MEADE: If you could clarify for
17 me. I think I must have missed a point or
18 misunderstood. Were you saying that, because
19 you're not inducing people by testing them
20 with the patch test, you think that you are at
21 a safe level to protect for induction?

215

1 DR. YOUNGREN: That's correct.

2 DR. MEADE: How can you make that
3 assumption when you're doing a one-time
4 exposure as opposed to people that are getting
5 repetitive exposures potentially at this dose
6 level.

7 DR. YOUNGREN: For one thing, a
8 certain number of these people are coming in
9 not just on one time exposures. A lot of the
10 people that are coming in with a rash are
11 being already tested to determine whether or
12 not they're going to get an additional rash.
13 And so we believe with the level that we're at
14 there we are going to be protecting people.

15 We can discuss whether or not you
16 need to put additional safety factors on to go
17 to lower levels, et cetera. That's where we
18 are at this point.

19 DR. MEADE: Just to make sure that
20 I'm clear. You state from that one patch,
21 testing thousands of people possibly repeating

216

1 it several times, you think you're protected
2 from repetitive exposure?

3 DR. YOUNGREN: We can go into the
4 specifics of the repetitive exposure that we
5 believe people are going to get which was one
6 that we don't believe that there are high
7 levels, if any level, of Cr(VI) that they're
8 going to be exposed to on the ACC-treated
9 wood. And that the level chromium, if it's
10 there, decreases over time and quite rapidly.
11 And we have the data, and we presented the
12 data to EPA showing that, that it decreases
13 over time. So you have got to keep that in
14 mind.

15 And, secondly, your repeated
16 exposures, if you have any, are too much, much
17 lower concentrations than anything that you
18 would get in the patch test. And there's also
19 that that has to be put into the picture when
20 you're doing it which is all of those pieces
21 that have to be put together on why we believe

217

1 that level is protective.

2 DR. MEADE: Thank you.

3 DR. HEERINGA: Dr. Pleus and then
4 Dr. Foulds.

5 DR. PLEUS: On Slide 3, you have a
6 bullet point that says that 10 percent MET is
7 analogous to an RFD.

8 DR. YOUNGREN: That was how it was
9 described, yes.

10 DR. PLEUS: Can you just give me
11 some details or expand upon that a little bit?

12 DR. YOUNGREN: The question was
13 brought up to the peer-review panel for the
14 Office of Water document on what they would do
15 about things like dermal sensitization. And
16 their reply was they would use a 10 percent
17 MET, and they described that as analogous to
18 an RFD.

19 When we went back, and in fact, I
20 said it was equal to an RFD at one point. And
21 I was corrected by the Office of Water that it

218

1 wasn't equal to an RFD, that it was analogous
2 to an RFD. I think that's maybe a
3 questionable point.

4 Now, I will say that the Office of
5 Water said that they have never pushed and
6 used it. They've never done dermal
7 sensitization as an issue with anything. So
8 they haven't set standards based on dermal
9 sensitization. That's what the peer review
10 Panel described it as.

11 DR. PLEUS: Just a quick follow-up.

12 DR. HEERINGA: Certainly.

13 DR. PLEUS: I've been reading a lot
14 of material for a lot of days, so excuse me.
15 Did you go into detail in your report on this?

16 DR. YOUNGREN: I thought we had.
17 Just a minute. Can I look real quick?

18 DR. PLEUS: You can look. And I'm
19 sure I missed it, but if you can point that
20 out for me.

21 DR. YOUNGREN: You may not have.

219

1 DR. HEERINGA: Dr. Youngren, maybe
2 we can come back to that.

3 DR. YOUNGREN: That's fine.

4 DR. HEERINGA: We can let everyone
5 know. Thank you.

6 DR. YOUNGREN: That would be fine.

7 DR. HEERINGA: Dr. Foulds.

8 DR. FOULDS: Just going back to the
9 safety factors incorporated, you stated that
10 about 60,000 TRUE Tests are performed in the
11 U.S. each year I think is what you said.

12 DR. YOUNGREN: Yes, that's what
13 we've been told.

14 DR. FOULDS: And that did not induce
15 any active sensitization. Is that to all
16 substances tested on the TRUE Tests or just
17 Cr(IV).

18 DR. YOUNGREN: We're just talking
19 about Cr(VI) in that case.

20 DR. FOULDS: And how have you ever
21 attempted to measure whether there is any

220

1 active sensitization or not from the TRUE
2 Test? What follow-up studies have you ever
3 done to actually investigate that?

4 DR. YOUNGREN: I have not personally
5 done any follow-up studies. Howard?

6 DR. MAIBACH: The source of that
7 quote, I'm not sure. So I'll tell you what I
8 do know.

9 At the North American Contact Derm
10 Group, we're very interested in active
11 sensitization. We don't want to sensitize
12 people. We've asked on a number of occasions
13 are people getting the positive at 10 days, 2
14 weeks, 3 weeks. And the answer is that with
15 the exception of paraphenaline diamine, it
16 hasn't been reported yet.

17 DR. YOUNGREN: Can I just reply to
18 Dr. Pleus's question?

19 DR. HEERINGA: Yes, absolutely.

20 DR. YOUNGREN: It's page 13,
21 footnote 33.

221

1 DR. PLEUS: Thank you.

2 DR. HEERINGA: This is response to
3 the question --

4 DR. YOUNGREN: This is in response
5 to the question about the RFD and analogous to
6 the RFD.

7 DR. MENNE: Just a short comment
8 concerning the patch test and sensitization
9 from patch. We have done general population
10 patch testing in Copenhagen in an unselected
11 populations. And we did it in '91 and
12 repeated it in '98. And in these two studies,
13 we had some who participated both in the first
14 and second panel. And we didn't see any
15 sensitization to chromate in this group of
16 individuals. So we have done a proper study
17 on these things.

18 DR. YOUNGREN: Thank you.

19 DR. HEERINGA: Thank you very much,
20 Dr. Menne.

21 Any additional questions on this

222

1 one? Thank you very much for a stimulating
2 discussion.

3 Mr. Morgan.

4 MR. MORGAN: My name is Dennis
5 Morgan. I'm the general manager of Forest
6 Products Research. And I want to thank you
7 the Panel for meeting today. I want to thank
8 Mr. Jones and Dr. McMahon and Dr. Chen for
9 raising this issue several months ago. It
10 allowed me to meet Dr. Maibach. And some of
11 the lecture that you've heard today, I've sat
12 through many of them over the last few months
13 to become somewhat educated on this. And I
14 feel much more informed on the issue.

15 Before I go into my presentation, I
16 want to respond to Dr. Meade's question
17 regarding the patch test and what we're
18 talking about there.

19 If you go through the uncertainty
20 factors as Dr. Chen laid out and we have a
21 test to develop an NOAEL and then we have

223

1 intraspecies variation of a factor of 10, what
2 we're saying is, because this patch test is
3 done over 60,000 people a year, the
4 intraspecies or interindividual difference
5 does not have to be 10 if you use the level of
6 the patch test which is below where the LLNA
7 and the other tests come out at. That's why
8 we say it's a lower bound for the test.

9 The other two uncertainty factors
10 that you talked about which can still be
11 included. But as Dr. Youngren pointed out,
12 we're separating in the uncertainty factors
13 the difference between the hazard assessment
14 and the use assessment. So at a certain
15 point, and as you have seen the other
16 presentations come together, the repeatability
17 as you talked about is a use assessment which
18 is different than the hazard assessment. And
19 we're saying there's a point in there on the
20 hazard assessment.

21 DR. MEADE: Thank you.

1 MR. MORGAN: Treated wood has been
2 around for over 50 years using chrom both as
3 ACC and CCA. It's been used in Europe. It's
4 been used in the United States.

5 The points that I'm going to try to
6 cover in this presentation are the dermal
7 contact with wood preservatives, the
8 hexavalent chromium and treated wood exposure
9 data that we have, the practical exposure data
10 considerations, and some risk model
11 assumptions. I'm a little bit out of order
12 because I kind of got through a couple of risk
13 models assumptions in responding to that
14 question.

15 Hexavalent chromium, Cr(VI), is a
16 major ingredient in the two major wood
17 preservation products in the worlds. That's
18 CCA and ACC. CCA is still used in the United
19 States. It's not used for consumer products.
20 It's still used for commercial applications.
21 Approximately one third of all the utility

1 poles in this country are still CCA-treated.

2 ACC has been used extensively in
3 Europe. It was one of the major products that
4 when CCA was banned in certain countries in
5 Europe, ACC was the substitute product that
6 was adopted in Europe. ACC had some specialty
7 uses in the United States that due to labeling
8 issues, the current label holder has decided
9 not to sell or are not being made.

10 About the middle of last year, they
11 had very water cooling tower where it was
12 chosen because of the very poor leachability
13 of the chromium and copper out of the
14 ACC-treated wood in comparison to leachability
15 of arsenic coming out of the wood.

16 Why we put chrom chromium wood?
17 It's not a preservative. It has virtually
18 zero biological activity as a biocide in the
19 wood. It's there to react with the organic
20 material in the wood fiber to permanently fix
21 the copper, or in the case of CCA, the copper

1 and arsenic, to wood. It's primary purpose is
2 a fixation agent.

3 We can go into the whole history of
4 how this came about. But under a normal FIFRA
5 deal back when this all started, I would
6 probably not say it's a preservative. I would
7 say it was an inert in there that was there as
8 a binder. It's also used to dissolve the
9 copper in the aqueous solution. One of the
10 fortunate affects that it does is it does give
11 a good corrosion inhibitor so treated wood
12 material that's put together with metal screws
13 and everything does not rapidly rust and you
14 don't have decks or fences falling apart.

15 Not all exposures are the same. I
16 think Dr. Youngren spoke to it. We talked
17 about some other things. The risk assessment
18 model that allowed the mouse LLNA data was
19 originally started from was developed from
20 cosmetics or personal care products, things
21 that are intentionally put on the skin. You

227

1 know, they can be applied generally to almost
2 any part of the body. Anywhere your hand can
3 reach, you can put upon a personal care
4 product.

5 Wood preservatives are incidental
6 contact with the skin. They are aren't
7 intentionally applied to the skin. They're
8 applied to the wood. As Dr. Chen explained,
9 they were pressure-treated wood. And where
10 the exposure comes from is surface residue.
11 And for hexavalent chromium, it's the surface
12 residue of unreacted chromium that is at the
13 surface.

14 Primarily, the exposures that you
15 would see in treated wood would be to the
16 soles of the hands and shoes and clothing.
17 Originally, when I came back here, I brought a
18 couple samples like the TRUE Test, and I had a
19 piece of wood I was going to show you. It's
20 kind of hard to get it underneath your eye and
21 everything. But it didn't make it through TSA

1 screening for some reason.

2 I said that chromium on the wood is
3 due to unfixed or unreacted chromium. Dr.
4 Chen talked about the fixation process.
5 That's a term of art that's used in the wood
6 treatment industry. It's really the reduction
7 of Cr(VI) to Cr(III) in the wood structure.
8 The fixation reaction when it's complete -- I
9 should say complete and the chemical
10 equilibrium is a poor term. When it reaches a
11 virtual point in the fixation in the wood
12 industry, we have an arbitrary number. Where
13 we say it's less than 15 ppm in the wood by a
14 particular test we have, we say that's a
15 complete fixation.

16 As a product reacts over time, the
17 fixation goes down. I will tell you that this
18 curve, the fixation curve, is a steep curve in
19 the beginning. And it is very temperature
20 dependent. At 70 degrees, it takes
21 approximately four days to fix the wood. At

229

1 35 degrees, it can take six weeks.

2 It's a well developed known
3 reaction. And the rate of fixation for CCA
4 and ACC is the same. The significant
5 difference is we start with at lot more
6 chromium on a relative basis in an ACC-treated
7 wood than we do with a CCA-treated wood. So,
8 therefore, to get to the same endpoint, it
9 takes a longer period of time.

10 Virtually every American is exposed
11 to treated wood. We've been treating wood
12 with hexavalent chrom since the 30s. Most of
13 suburbia has decks, fence posts. As I said,
14 about a third of the utility poles in this
15 country are CCA-treated. It gets around quite
16 a bit.

17 During that period of time, I guess
18 I'm sort of responding to a question Dr. Menne
19 asked. We don't know of any ACC problem
20 linked to treated wood. We don't know of it
21 in treating plants; we don't know of it in the

230

1 use industry. I'm not going to say it isn't
2 there because I haven't interviewed all the
3 270 million Americans. But it has not been a
4 significant issue.

5 In the last 25 years, the use of
6 treated wood was gone up tremendously in the
7 United States, while the prevalence rate of
8 chromium ACD has gone down at the same time.

9 SAP has met on treated wood a couple
10 of previous times. In 2000, the EPA did not
11 assess the dermal sensitization hexavalent
12 chromium in CCA-treated wood. The EPA staff,
13 if I read the documents right, asked dermal
14 sensitization and whether it should be
15 assessed. And the SAP Panel at that point in
16 time instructed the staff to review what the
17 State of New Jersey had done with chromium
18 assessment values.

19 That Panel somewhat continued in
20 2003. Not the same SAP, but as part of the
21 CCA that met again. And at that point in

231

1 time, they again did not review the dermal
2 sensitization for the revised assessment for
3 CCA-treated wood. That's not to say there was
4 an overt point like this particular meeting
5 for people to look at. And they were looking
6 at a lot of other things with CCA-treated
7 wood. So it may have been overlooked.

8 We've reviewed the OSHA reports for
9 the last 10 years. And we cannot find any
10 data of reports of ACD cases specific to the
11 exposure of hexavalent chromium involved in
12 the production of chromium products. That's
13 like at the manufacturing points where we make
14 wood preservatives or where the chromic acid
15 is made at.

16 Elementis is currently the major
17 supplier in the world. And I believe they are
18 the only manufacturer in the U.S. And they
19 have no evidence of ACD reported in any of
20 their production facilities. But I think
21 Elementis will speak for themselves on that.

1 We also went back and searched the
2 Bureau of Labor Statistics data base, and OSHA
3 does not have reports of dermal issues related
4 to the subgroup of wood treatment plants for a
5 10-year period 1993 through 2002.

6 There was also a conference on wood
7 treatment plants in Germany a few weeks ago.
8 And all the ACD and dermal sensitization was
9 not the issue of the conference. There was a
10 discussion of dermal issues at wood treatment
11 plants. The members of the treating industry
12 that there, did not report any ACD or dermal
13 irritation at their plants.

14 Well, this has been kind of an
15 unusual registration. And I think most of the
16 people sitting at this side of the table will
17 agree with me on that. When ACC came up,
18 because the registration came up because it
19 hadn't been used in the United States, there
20 were a lot of discussions about it. Well, the
21 EPA sent one of their senior staff members to

233

1 Europe to visit some treating plants and look
2 at some production.

3 The report of that trip reported
4 that there was no incidence of ACD in the
5 treating plants or at the consumer use. And
6 the staff member interviewed the people that
7 she had met.

8 Again coming back to risks and
9 toxicity, with ACD the induced sensitized
10 person is the nonreversible side of that. The
11 elicitation side, as pointed out, that is a
12 reversible. That is the symptom that we see
13 there. But ACD as far as the elicitation or
14 what we see, is reversible and it is
15 avoidable.

16 Some of the issues that have come up
17 in the past just to bring you up to speed.
18 EPA has determined that Cr(VI), at least for
19 wood is not a cause of death. We don't have
20 any acute poisoning deaths. It's not a cancer
21 problem in treated wood. And it doesn't have

234

1 any reproductive affects.

2 Again, sort of coming back to the
3 uncertainty factors. The uncertainty factor
4 protect against ACD should be based upon the
5 nature of the endpoint. This is a reversible
6 endpoint. This is not a reproductive. It's
7 not an endocrine disrupter. The elicitation
8 is reversible and avoidable affect.

9 You've seen some stuff that proposed
10 a factor of 3,000. These are sort of the
11 default factors in the uncertainty. It's the
12 combination of the all the uncertainty factors
13 being proposed by staff. And the total is
14 3,000. It's the maximum number in each group.
15 I think that there were some comments by Dr.
16 Griem that were discussed this morning where
17 he talks about the interspecies uncertainty
18 factor and how that should be Round 1 for his
19 assessment of the chromium product.

20 We also got into the patch test
21 which we'll hear again. But because of the

235

1 size of that -- what we're saying in that is
2 you can take the animal studies. But you have
3 to look at them in terms of also the human
4 data that's out there. And if applying the
5 uncertainty factors takes you far below what
6 we're currently doing with human folks, you
7 have to examine that and take a look at that.
8 We're saying that human data has to be a point
9 on that.

10 The exposures, the combination of
11 all these uncertainty factors are very
12 tremendous given a lot of the other human data
13 that is just beyond the LLNA data. A factor
14 at 3,000 just blindly applied as a default
15 factor coming in can eliminate a lot of
16 chemicals. It will end up eliminating a lot
17 of home-use pesticides. It will eliminate a
18 lot of treated-wood products. And it would
19 eliminate a lot of household products around
20 if it's just blindly looked at from this one
21 study.

236

1 I want to thank you for the time.
2 And I will be happy to answer questions at
3 this time.

4 DR. HEERINGA: Thank you very much,
5 Mr. Morgan. Are there questions from the
6 Panel? Dr. Meade.

7 DR. MEADE: In listening to what
8 several of you have had to say, I'm beginning
9 to question whether the issue is really not
10 the use of the local lymph node assay, but the
11 uncertainty factors that people have
12 associated with it. Is that really the issue?
13 It's not so much that the raw data, the EC3
14 value that is set by that assay is
15 inappropriate.

16 Because if you look back at the data
17 that's been presented and you just look at
18 those factors, they get scaled way up because
19 of the uncertainty factors applied. And from
20 what you were just talking, is that really
21 your concern?

1 MR. MORGAN: That's one of the major
2 concerns that we're talking about in this. I
3 think in the case specific which we'll kind of
4 do another round robin, there are some other
5 issues within the LLNA test that will bring to
6 the forefront with the local lymph node assay.

7 DR. HEERINGA: Yes, Dr. Hayes.

8 DR. HAYES: I think it was one of
9 your earlier slides. You made the statement,
10 "The use of treated wood in decks has been
11 increasing dramatically in the last 20 years
12 while the prevalent of chromium sensitization
13 has decreased." Do you have that data?

14 MR. MORGAN: Which data?

15 DR. HAYES: Either that it's gone up
16 for the wood usage and that the prevalence has
17 gone down.

18 MR. MORGAN: Do I have it here to
19 present to you? No, I don't; but I can get it
20 to you. The data, we based upon the sales of
21 the underlying chemicals that are reported and

238

1 the increase in the usage. Chromium is -- the
2 only preservative used for chromium is in
3 treated wood.

4 DR. HAYES: You've got that data.
5 What about the prevalence data?

6 MR. MORGAN: Well, there will be a
7 later speaker who will speak to the
8 prevalence. But there are some studies by the
9 North American Dermatological, Howard's group,
10 that reports the prevalence rates every 15
11 years.

12 DR. HAYES: That's a pretty strong
13 statement. And there's no data that I've seen
14 to support it.

15 MR. MORGAN: That will be presented
16 later this afternoon or tomorrow.

17 DR. HAYES: Thank you.

18 DR. CHU: I have two questions.
19 These are exposure-related. The first
20 question is: Are you aware of any study data
21 to indicate that to what extent, say,

239

1 schoolchildren are exposed to chromium when
2 they are at play in the playsets that are
3 built of pressure-treated wood? That's the
4 first question.

5 And the second question is: Why do
6 you contend that, from the pressure-treated
7 wood there is a minimum of transferring from
8 the pressure-treated wood of chromium to a
9 person's skin? What if this chromium-treated
10 wood has been cut in a factory where the
11 workers saw the wood, where the sawdust flies
12 in the air, or attached on the skin? Are
13 there any studies to indicate that the release
14 of chromium there is a minimum because these
15 are all considerations when a regulator tries
16 to set a standard to protect the workers as
17 well as the public.

18 MR. MORGAN: I'll restate your
19 questions, and try to answer them. The first
20 question is: Is there any data to identify
21 the exposure to children to treated wood?

1 DR. CHU: Yes.

2 MR. MORGAN: There is quite a bit of
3 data on that. If the question is: Is there
4 any data specific to ACC-treated wood? The
5 answer is no. There is a great deal of data
6 for CCA-treated wood. And what we're talking
7 about is the exact same use pattern. And so a
8 2 by 6 that's put into a deck or used as a
9 fence post, the children are going to have the
10 same exposure to that wood as they would to
11 CCA-treated wood.

12 The difference that I think, as Mr.
13 Jones alluded to earlier, is the actual
14 surface residue between the different
15 treatments may be different. That's a
16 separate component of the overall issue.

17 Now, is your question: Do we have
18 surface residue data for ACC-treated wood?

19 DR. CHU: Yes.

20 MR. MORGAN: I'm glad I got that
21 point. I think that Dr. Layton, Dr. Dang, and

241

1 several of us are discussing the appropriate
2 protocol to develop that data to EPA's
3 satisfaction.

4 DR. CHU: Yes. Part 2 of the
5 question.

6 MR. MORGAN: Part 2 of the question
7 is on cutting the wood and the exposure. In
8 cutting the wood, you're going to expose a
9 fresh surface area. But the reaction rate is
10 not different in the interior of the wood as
11 it is on the surface of the wood. In fact,
12 it's generally quicker within the interior of
13 the wood because the chromium reacts with the
14 organic fibers. So you don't have the
15 artificial limit of no organics that the air
16 would interface. So within the wood, it gets
17 to the cell structure. And it will react on
18 the surface of that cell structure and reduce
19 from Cr(VI) to Cr(III).

20 The second part of that was the dust
21 issue that is involved with that and the

242

1 creation of sawdust and everything. I believe
2 that's the issue.

3 That is sort of a two-fold question.
4 One is where it's done in another factory and
5 everything, there are precautionary measures
6 that are handled in almost all wood-cutting
7 issues in the United States where wood,
8 treated or untreated, is and the saw dust is
9 generated in a commercial sense. So the other
10 issue -- you also have an issue of had long
11 after treatment does the decay take place.

12 The longer you are away from
13 treatment, the more the Cr(IV) is reacted to
14 Cr(III). So you have Cr(III) in the wood
15 rather than Cr(IV). If it's 70 degrees
16 Fahrenheit and you're 10 days after treatment,
17 you aren't going to find any Cr(VI). If
18 you're 40 degrees, you may be six weeks.

19 DR. HEERINGA: Dr. Chu, any
20 follow-up?

21 DR. CHU: Earlier this morning we

243

1 heard from Dr. Menne the issue is not just as
2 it relates to chrom, hexavalent chromium.
3 And, in fact, there is some data suggesting
4 that trivalent chromium may well be also the
5 culprit, too. The reason that it's not
6 indicated here because of the absorption.

7 Now that you have a situation
8 potentially that the trivalent chromium
9 exposed to either the general public or the
10 workers, how do you address that, the safety
11 issues? Yeah.

12 MR. MORGAN: Well, I think if you're
13 talking about the specifics that are in the
14 Hansen paper that came up as part of the
15 study, we have to look at a lot different
16 issues involved with that. And I'm going to
17 give you an engineer's approach to this, not
18 necessarily a toxicologist's approach.

19 First of all, as I read that
20 particular study, you had a water soluble
21 chromium system. The trivalent chromium that

1 is a result of the reduction in ACC-treated
2 wood from Cr(VI) to Cr(III) is generally water
3 insoluble. So they test for two different
4 species of wood. I think that the issue is
5 whether chromium chloride is analogous to
6 whatever chromium complex we end up with in
7 the treated wood.

8 The other issue that's related to
9 that is whether from ACC-treated wood or
10 CCA-treated wood. CCA-treated wood has a lot
11 of wipe studies that were generated for the
12 risk assessment task force so that we have
13 some idea of what the trivalent amount of
14 chromium is at the end of the fixation
15 process.

16 When we talk about hexavalent
17 chromium, we have a certain time frame after
18 processing where we're going from hexavalent
19 to trivalent. And after that, we're talking
20 nothing but trivalent. I think that in my
21 discussions with the gentleman to my right,

245

1 the trivalent has not been a significant issue
2 because they looked at it -- my believe belief
3 is they look at it -- with the CCA.

4 DR. CHU: Thank you.

5 DR. HEERINGA: Dr. Morgan, I have a
6 question. You have pointed to the European
7 use of ACC applications in treated wood. Two
8 questions: What portion of the market share
9 does ACC represent in terms of treated wood
10 use in Europe? And is treated wood used in
11 decks and walks and other things as extensive
12 there as it is here in the States?

13 MR. MORGAN: The answer to the
14 second question is, no, not nearly as much.
15 And to somewhat put it in relative terms, my
16 understanding is about 70-plus percent of all
17 the treated wood is the North American market.
18 And the rest of the other 30 percent is spread
19 through the rest of the world.

20 In Europe, depending upon what
21 country you are in, the ACC can range of up

246

1 the 50 percent of the treated wood in the
2 country and to zero in some other countries
3 because of the different regulations. My
4 market survey puts the number about 35 percent
5 of all the wood through Europe.

6 DR. HEERINGA: Thank you.

7 Any other questions for Mr. Morgan
8 from the Panel? Dr. Chen.

9 DR. CHEN: I think I need to make
10 some clarification. One thing that we
11 discussed earlier in the morning, when we use
12 a human sensitized population in the
13 uncertainty factor, we are at that time
14 because they are using the sensitized
15 population. So we are using the uncertainty
16 factor of 3. It's not 10. It is reduced to
17 3.

18 The second thing that I need to
19 clarify is that, for the newly treated, wood
20 fixation state is not complete and both of the
21 chromium is in the Cr(VI). In general, that

247

1 we believe that the Cr(VI) is much more potent
2 when we talk about sensitization.

3 And once a fixation step is
4 complete, basically it staying in the Cr(III).
5 So when we see the newly increase of use of
6 the treated wood, not necessary means the
7 increase chance of the exposure to the Cr(VI).
8 And the Cr(VI) and Cr(III) become an major
9 important. We need to differentiate between
10 these two.

11 And the third thing that we need to
12 mention is that, in the chromium when we see
13 those kind of patch tests and those kinds of
14 things, we do have one concern that those
15 people that are going to have both those patch
16 tests, usually they are kind of going to the
17 dermatologist for some kind of health concern.

18 But in general, that general public
19 most of the time they're exposed to Cr(III)
20 not really Cr(VI). So in this case, it's
21 possible that the general public may not have

248

1 the chance to be induced for Cr(VI). So this
2 does have this kind of concern. So I just
3 needed to point this out.

4 DR. HEERINGA: Thank you for those
5 three points. Any questions? Mr. Morgan.

6 MR. MORGAN: May I respond?

7 DR. HEERINGA: Sure. Absolutely.

8 MR. MORGAN: When Dr. Chen talked
9 about the factor of 3 in the Nethercott study,
10 we're kind of talking at two different issues.
11 It's what the study is and what's going
12 forward. What we're basically saying is that
13 on the interspecies, if you test 60,000 people
14 every year, the uncertain on 60,000 people
15 should be 1 is what we would propose.

16 In the sensitized population that
17 Dr. Chen addressed or in the addressment of
18 that study, the Nethercott study, they used a
19 factor of 3 with a population of 54 as the
20 test subjects.

21 DR. HEERINGA: I'm sure Dr. Maibach

249

1 mentioned it this morning. But what is the
2 dose in the TRUE Test patch?

3 MR. MORGAN: Eight ug/cm2.

4 DR. HEERINGA: I do recall that now.
5 Thank you.

6 Any additional questions from the
7 Panel?

8 I think we'll continue with our
9 sequence of presentations. Dr. Maibach, are
10 you up?

11 DR. MAIBACH: Yes, sir.

12 This will be brief; I'm sure you'll
13 be delighted.

14 I was asked to comment on the
15 patient's referred to the University of
16 California San Francisco Environmental
17 Dermatoses Clinic. The clinic started in the
18 60s. It still goes. Patients can be referred
19 by any health care worker. And they're
20 usually referred with an undiagnosed
21 eczematous eruption or a diagnosed eczematous

250

1 eruption that's not getting better in which
2 the health care worker or the patient says,
3 well, maybe I'm allergic to something such as
4 the treatment.

5 And we, of course, because of
6 Bonneviv in Denmark in the 1930s, we have used
7 chromate as has everybody else for almost all
8 of these patients. When we started in the
9 60s, we had a screening panel of about two
10 dozen. Now we have a bare minimum of about
11 65. And if it's an occupational patient or a
12 woman or a man who has a dermatitis on their
13 face, it might be 100 or a 120 separate
14 chemicals under this wall aluminum chamber.

15 In the patients who are at chromate
16 issue -- we have two types. One type which
17 used to be not uncommon were cement workers.
18 At one time in California, up to maybe 10 to
19 15 years ago, these people worked the way I
20 did as a high school student. I spent a
21 summer in this job. And my body was immersed

251

1 on these hot days in mixing cement and it was
2 all over me.

3 Some of these people, these
4 professional cement masons, they've been
5 studied in two Ph.D. theses, one in Norway and
6 one in Denmark. They were often sent because
7 their dermatologist knew they were cement
8 masons and they had a hand dermatitis. So
9 that's one population.

10 That has vastly decreased in our
11 catchment which is Southern Oregon, Nevada,
12 and California for the most part. We still
13 see, now that the dermatologist are less
14 familiar with cement eczema, we still see the
15 occasional patient with cement that we
16 realize, we take the history and put two and
17 two together and test them. So even now in
18 2004, we still see the occasional one.

19 But it's really disappearing. Not
20 because we've added ferrous sulfate the way
21 it's been added in certain countries in

1 Europe, but just because of the changing work
2 practices. The cement is delivered in big
3 trucks. It's a much cleaner occupation.

4 But we still see patients as you
5 will see in the patch test data who are
6 chromate positive. I mentioned this morning
7 that it's one of those materials that, if the
8 history doesn't fit, we often repeat it to see
9 if it was just a marginal irritant and hence
10 would not be repeatable as a single patch or
11 if it was excited skin and, again, would not
12 be repeatable.

13 After the cement masons, we see
14 several patients a year who seem to fit a
15 clinical syndrome. They really do seem to be
16 allergic to the chromate leached from the
17 leather shoes. Once we make the diagnosis,
18 the outlook or prognosis is fairly good. We
19 put them in substitute shoes for six months,
20 nine months. Many of them can go back to
21 regular shoes. Some of them continue to wear

1 the substitutes for long periods of time.

2 In the past, and by that I mean
3 greater than a decade ago, certain paints had
4 for functional purpose chromate added. And we
5 were looking for those patients because we
6 were thrilled when we found one when we would
7 really make an intervention. They obviously
8 became painters without chromate. We haven't
9 seen one of those in a decade.

10 We used to have a certain small
11 chromium plating industry in our catchment.
12 We don't see those anymore. That's obviously
13 done in some other part of the United States
14 or the world. Our last primer chromate
15 patient was again over a decade ago. I think
16 the industry practices have changed.

17 Now, when you take the ones that we
18 can explain, the rest go into the category of
19 gold. They are a mystery. We believe if it's
20 repeatable, that they probably do have
21 cell-mediated immunity. They do have delayed

1 sensitivity. But we cannot find a cause and
2 effect relationship. We cannot define a
3 disease. But one of your next speakers is
4 going to help us find hidden sources of
5 chromate. Maybe we'll be able to explain it
6 after your presentation.

7 Now as I said, the explanation when
8 you look at that statistics from centers that
9 don't have the time and the leisure to go into
10 the depth that we do, one is: Is the patch
11 test positive without a relevant history, just
12 simply excited skin. Cytokines going around
13 the blood stream. When they decrease, the
14 positive patch will not be repeatable.

15 Do they have an irritant response?
16 Now, it's quite interesting that a really
17 interesting thing to me at least is that the
18 patients, the 50 percent that we can not find
19 a disease to go with the patch test, all of
20 those almost by definition are able to wear
21 leather-chromated shoes.

255

1 So in summary, I would simply say
2 that chromate has been studied energetically
3 for decades, but new things are being learned
4 all the time. The work that you referred to
5 is the Hansen study from Dr. Menne's
6 laboratory and department was a revelation.
7 We somehow missed the significance of
8 trivalent chromium before. I suspect there
9 are many things that you can instruct, you can
10 help in policy with our colleagues and
11 governments all over the world that will
12 answer many other questions in the years to
13 come.

14 Thank you.

15 DR. HEERINGA: Thank you very much,
16 Dr. Maibach.

17 Are there any questions in response
18 to Dr. Maibach's presentation?

19 DR. ISOM: I was wondering on those
20 50 percent that you said you cannot explain,
21 is there an age distribution or is that just

256

1 general population?

2 DR. MAIBACH: There is undoubtedly
3 an age distribution. I don't know it. But I
4 suspect that Torkil Menne does or Iain Foulds
5 does. Do any of you know the unexplained? Is
6 there anything unusual about their age
7 distribution?

8 DR. FOULDS: Not that I'm aware of,
9 no. I'm just a little bit concerned about the
10 high rate of unexplained rate. Often it's
11 said that unexplained reaction is sort of a
12 reflection of your own knowledge.

13 DR. MAIBACH: Fortunately, I am
14 aware of that.

15 DR. FOULDS: I wouldn't like to
16 imply that as far as you're concerned, Howard.
17 I feel that most of my positives are relevant,
18 that I can usually find a reason for them. I
19 was interested that it was as high as 50
20 percent here.

21 DR. HEERINGA: Any other questions

257

1 or comments? Okay. Seeing none, let's move
2 on to our next element in this presentation.
3 Dr. Youngren.

4 DR. YOUNGREN: I'm the last element
5 in this presentation so you guys can all get
6 ready to breathe a sigh of relief.

7 And, Dr. Foulds, I'm glad that you
8 are brave enough to say those things about Dr.
9 Maibach.

10 DR. FOULDS: He's going to hate me
11 now.

12 DR. YOUNGREN: I'd like to talk
13 specifically about the Cr(VI) assessment
14 because, obviously, that's our concern.

15 The most frequently referenced and
16 relied upon study for establishing a MET for
17 Cr(VI) has been the Nethercott et al. study
18 that was done in 1994. And you're going to
19 get a lot of details a little bit later from
20 another speaker. But this is where they took
21 102 chromium sensitive volunteers, ran them

258

1 through a first set of the study, decided that
2 54 met a very strict sensitization criteria,
3 and then they recorded the positive responses
4 over a dose response set of different doses.

5 There was one in 54 subjects who
6 responded to the lowest Cr(VI) exposure. And,
7 in fact, that person was further tested
8 because it was such a surprise to get them at
9 that that they discovered that they basically
10 would react to anything including taking a
11 shower. So they were obviously a very
12 sensitive person to not just chromium but to
13 everything. So you wonder really whether or
14 not there was a true reaction or if they were
15 just an anomaly in some ways.

16 The result for the 10 percent MET is
17 the .089 ug/cm2. And Dr. Chen mentioned this
18 in his presentation. The 10 percent MET has
19 also been looked at for other studies. And
20 Scott and Proctor in their document of 1997
21 did a benchmark dose model and looked at a

259

1 variety of other studies that had been done
2 and came up with a range of different MET
3 values, 10 percent MET values, based on the
4 fact that they were also done for different
5 things. You've got dichromate acid. You've
6 got it being done in a neutral solution.
7 Chromic acid in an alkaline solution. And as
8 you can see, the numbers range. In this case,
9 they range from .55 to 12.50 ug/cm2. And keep
10 in mind, this is in comparison to the value of
11 .089 that was found in the Nethercott study.

12 Dr. Boukhman and Maibach in 2001
13 took all of this data, and they did a
14 statistical analysis of these studies with
15 running both a log probit model and a
16 truncated log normal model. In putting all of
17 this data together, again, we have been
18 emphasizing all the way through here, looking
19 at the weight of the evidence, they came up
20 with a 10 percent MET of 0.72 of chromium per
21 cm2 of skin. Again, this is for all the data.

260

1 And we believe that you should be looking at
2 the weight of the evidence and putting all of
3 the data together.

4 We'd like to talk briefly about the
5 LLNA and be being specific for Cr(VI). This
6 is another error that we found in EPA's
7 background document which was sent to the
8 Panel. In the background, they stated that
9 LLNA study for Cr(VI) in Kimber et al. was
10 done in acetone and olive oil. It was not.
11 It was conducted with DMSO. And for those of
12 you -- I had to learn these things -- DMSO
13 enhances skin penetration and is also thought
14 to be a strong irritant. And, obviously, it
15 could affect the values you would get.

16 We believe that if you're going to
17 assess treated wood, you need to use an LLNA
18 study that would be conducted with water
19 because that would simulate our exposures,
20 because sweat which basically is how you would
21 be getting the Cr(VI) or maybe a little bit of

261

1 water if that might be the naked baby sitting
2 on it. But with mainly about sweat, putting
3 your hand down, sweaty, the Cr(VI) then going
4 onto your hand. So we want to look
5 specifically at that.

6 And there actually have been a
7 couple studies that have been done, Ryan in
8 2002, used water as a vehicle and ran the LLNA
9 and the EC3 at 44 ug for Cr(VI) cm2 determine
10 that it wasn't a sensitizer. So if we ran the
11 vehicle, which is comparable to the expose,
12 Cr(VI) is no longer a sensitizer. Which then
13 questions the fact of whether it would be a
14 sensitizer in the type of exposure that we
15 would be having.

16 Ryan also ran a 1 percent L92 which
17 is a surfactant. He was trying to find
18 something to use as an aqueous solution.
19 However, there is a question of whether or not
20 that in itself is causing some irritation.
21 And so whether or not the LLNA values that

262

1 you're seeing here, the EC3 of 15, also may
2 have been actually irritation rather than
3 sensitization. We can't answer that question,
4 obviously. We might be able to go back to Dr.
5 Ryan and see whether he has got his data and
6 be willing to go through that. But at this
7 point, I can't go there. I'm just
8 hypothesizing here.

9 All of this compares to Dr. Kimber's
10 results that were mentioned in the background
11 which was from a 1995 report which was done in
12 DMSO which is a strong irritant where you got
13 an EC3 of 10.

14 If you look at all of this data and
15 I just presented here to show that we did go
16 through all of this, and then we went ahead
17 and graphed it. And you find some interesting
18 things on this graph. The top line is the
19 Kimber DMSO set of data. The blue line is the
20 1 percent L92. The blue line is just EC3 --
21 excuse me. The red line, just so you can see

263

1 it, so it jumps out at you, is to see where
2 you are trying to cross this number. And then
3 the water numbers, keep in mind, that we still
4 didn't find anything at 44 micrograms of
5 Cr(IV) cm². That was the highest that was
6 tested. They stopped at that point.

7 And you'll note a couple of
8 interesting things. One of them particularly
9 with the 1 percent L92 is the fact that we get
10 this leveling off effect as you go on. And so
11 then the question does come up as whether or
12 not there was some irritation that was going
13 on rather than sensation. And a question of
14 why doesn't it continue to go up because you
15 would expect it to.

16 It's also important to keep in mind
17 that the EC3 or SI3 is a value that ICVAAM has
18 decided was the point of departure, shall we
19 say, that this is where you determine it. But
20 it's interesting that it's not until you get
21 to 22 micrograms that you start really seeing

264

1 things above that as you're looking at it. So
2 you have got all of those.

3 Also if you want to compare sort of
4 what the ratio is between water and L92 or
5 water and the DMSO, keep in mind you have got
6 to compare down there where water is. So
7 you're comparing the 44 down to about 14.
8 You're not comparing zero to whatever the
9 number came. And we'll bring that up in a few
10 minutes.

11 Uncertainty factors. This is
12 specific to LLNA. This isn't specific to
13 anything else. This is talking about where
14 we'd apply them. EPA has set a value of 3 for
15 interspecies, a value of 10 for intraspecies.
16 But they applied a matrix or vehicle EPA value
17 for 10. We disagree with that mainly because
18 of the Cr(VI) testing that was done in DMSO
19 which was shown to be at least 30-times higher
20 than with water. And water is the appropriate
21 vehicle for this actual use. So we actually

265

1 would propose a uncertainty factor of less
2 than 1.

3 I know there's probably some
4 snickers going on like, yeah, you got to be
5 kidding, a .5? But, obviously, 1 would be
6 fine.

7 Secondly, when we go down to the
8 exposure, and this has come up already in one
9 discussion, which is that EPA has a value of
10 10. And I realize that these numbers that I'm
11 giving this, 3, 10, 10, and 10, are the
12 numbers that were in the background document.
13 But I think they've changed slightly. And I'm
14 not sure if they're going to keep changing.
15 Some of them, I know, got changed based on the
16 comments that came in from Dr. Griem.

17 But we don't believe that the
18 repeated dermal exposure is going to increase
19 your uncertainty because the repeated dermal
20 exposure, again, as I said before, if it
21 occurs to any Cr(VI) and some of that is based

1 on when the wood gets into the system and then
2 when you would actually be exposed to it, is
3 decreasing amounts of chromium over that time.

4 One of the issues that has come up
5 is obviously what is a level that you're going
6 to be exposed to. We do know from the
7 standpoint of testing fixation that it does
8 take some time particularly temperature
9 dependent. However, we also believe that if
10 you make wood, treat wood when it's really
11 cold out, you're probably not going to be
12 building with it very quickly either.

13 In other words, if I'm going to
14 treat wood when it's cold in Minnesota, I'm
15 probably not going to build a deck with it
16 when it's quite that cold either because you
17 can't dig footings. I've lived up north. And
18 so you got to keep that in mind when you're
19 talking about really how the exposure is going
20 to occur and when you're going to actually
21 have exposure versus wood moves very quickly

267

1 through the system when it's warm. For
2 anything trying to get a deck built right now,
3 you're probably looking at a month or to two
4 out before you can get someone to come out and
5 give you an estimate.

6 The wood move very quickly from the
7 wood treater to Home Depot, Lowes, wherever
8 your local lumber mill is, lumber seller, to
9 you to the consumer or to the person building
10 the deck if NIOSH is concerned about the
11 worker. But again it's fixing very quickly at
12 that point as well. So we believe and we know
13 that the Cr(VI) continues to decrease to the
14 point where there is no Cr(VI).

15 And as Mr. Morgan said, we're
16 finishing working through a protocol so that
17 we can get the wipe sample data that will be
18 comparable to the wipe samples that were done
19 with CCA-treated wood.

20 And the same thing with what the
21 transfer factors are, that is in the works.

1 We don't believe that we will see anything
2 different than what we have seen with
3 CCA-treated wood with fixation being complete
4 when we're at the same point in fixation.

5 There have been multiple assessments
6 of chrom dermal toxicity as well as chromium
7 assessments. And I just want to go through a
8 couple things because we think they are
9 important for you to keep in mind when we're
10 talking about dermal sensitization.

11 USEPA's Integrated Risk Information
12 System, or IRIS, has always been sort of the
13 gold standard for what toxicity is within the
14 Agency. And they report on dermal
15 sensitization for Cr(VI). And the IRIS
16 document on Cr(VI) was updated in 2003. And
17 they state that, "The concentrations of
18 hexavalent chromium in environmental media
19 that are protective of carcinogenic and
20 noncarcinogenic effects are likely to be lower
21 than the concentrations required to cause

1 induction of allergic contact dermatitis."

2 They say, "Because the dermal
3 irritation and dermal sensitization are the
4 primary concern through the dermal exposure
5 route, no further detailed assessment is
6 necessary because any concerns are dealt with
7 through an assessment of cancer and noncancer
8 endpoints."

9 In looking at what was done with
10 CCA-treated wood, we believe that following it
11 through the cancer and noncancer endpoints,
12 there obviously would be no concern. And we
13 also believe that the levels when we look back
14 and we can back calculate what those, quote
15 unquote, "acceptable" levels would be based on
16 playing on your deck and looking at systemic
17 effects or carcinogenic effects which really
18 doesn't come from the dermal issue; but the
19 systemic affects for ingestion or dermal
20 exposure that they would be protective for
21 causing induction of allergic contact

270

1 dermatitis.

2 The Office of Solid Waste, or OSWER,
3 who spoke earlier today, also have reported
4 and also have in their documentation that they
5 also depend solely on this IRIS assessment.
6 They state that IRIS remains in the first tier
7 of the recommended hierarchy as a generally
8 preferred source of human health toxicity
9 values. Interestingly, it remains in the
10 first tier. It's the only one in the first
11 tier of the recommended hierarchy.

12 And the majority of contaminated
13 site soil cleanup levels are based on
14 potential soil ingestion rather than dermal
15 exposure. We looked at a variety of ones
16 where the information was out there to the
17 general public of clean-up levels and how they
18 had been established. And we were aware that
19 they're not based on, in most cases, on dermal
20 exposure.

21 However, we want to question the

271

1 fact that people do contact the soil. They
2 sit on the soil, play on the soil. And yet as
3 far as we can find, there have been no reports
4 of ACD from this contact which would question
5 the fact in many cases the levels are
6 thousands of times higher than they would be
7 if we were to go and pick one of the numbers
8 proposed. In fact, it's probably a million
9 times higher than the number that's been
10 proposed by the Office of Pesticide Programs.
11 And I really wonder whether or not we really
12 need to go to that extent.

13 The SHEDS assessment for those of
14 you who weren't involved with the 2001 and
15 2003 assessments of CCA-treated wood, SHEDS is
16 a model that was created and modified to look
17 at exposure for wood playsets and decks to
18 assess the risk from both arsenic but also
19 chromium to children exposed. And the
20 adequacy of the exposure parameters that were
21 used in the SHEDS assessment were looked at by

272

1 two separate SAP panels.

2 The SHEDS model uses tox endpoints
3 other than dermal sensitization. This follows
4 the recommendation that is in IRIS. And as we
5 said earlier, Mr. Morgan mentioned, that when
6 the SAP was asked in 2001 what they should do,
7 you know, what EPA should do, they were told
8 to go back and look at the New Jersey
9 assessment for how they set their clean-up
10 levels for chromium. And in the 2003
11 assessment, the dermal sensitization was again
12 not specifically addressed.

13 We have been told that it wasn't
14 addressed because they felt that all the
15 numbers that they had gotten off of
16 CCA-treated wood were at such levels that they
17 weren't of concern. But, again, they weren't
18 numerically or quantitatively assessed.
19 Actually, it wasn't even mentioned.

20 They did assess chromium dermal
21 exposure. And when you run those numbers from

273

1 the standpoint of systemic effects -- and
2 those are out there on the internet. Any of
3 us can run them -- there is no cause for
4 concern based on systemic effects which would
5 go back to the IRIS methodology that says that
6 those levels would then be acceptable.

7 EPA has just recently come out in
8 February of this year with an occupational
9 risk characterization for exposure to
10 CCA-treated wood. And they state that, "This
11 report assesses exposures and risk to the
12 potential receptors associated with exposure
13 to arsenic and Cr(VI)."

14 They have said, "To address the
15 concern for potential skin irritation and
16 allergic potential for Cr(VI) from
17 occupational exposure and in accordance with
18 OPP policy, it was concluded that
19 precautionary label statements should be
20 included on the CCA wood preservative
21 treatment solutions used in pressure treatment

274

1 facilities."

2 To cover what you were questioning
3 regarding the NIOSH question, this is EPA's
4 method to deal with dermal sensation.

5 Interestingly, though, if you go on,
6 the document notes that, "Endpoints selected
7 for use in the CCA occupational risk
8 assessment as a result of the October 2000
9 meeting, do not include dermal exposure."

10 And we want to understand why would
11 we think that dermal exposure and dermal
12 sensitization is important to a child playing
13 on a playset or sitting on a deck. It is as
14 important as of a couple months ago for a
15 worker. We don't understand how OPP's policy
16 can be one way for one thing and one way for
17 another. We personally agree with their
18 policy that they have here that we don't need
19 to go as far as they've done with dermal
20 sensitization that they're proposing now.

21 This is a discussion that we have

275

1 had over time. And here's a slide for us on
2 the use of patch test for active sensation.
3 And we know based on sales and other
4 information, that 60,000 people are being
5 tested every year in the U.S and they're not
6 being sensitized. We can run through all
7 kinds of numbers here on the fact that there
8 are 293 million people in the country and
9 there are 9 million visits to the
10 dermatologist. Now that's not 9 million
11 people visiting the dermatologist. But that's
12 how many happened.

13 It seems dermatologists are doing
14 very well here. And that there are 60,000
15 tests conducted or .02 percent. The initial
16 positive for Cr(VI) shows between 1.8 and 9
17 percent positives. But only about half of
18 those are positive on follow-up tests which
19 leads us to do the math all the way out to
20 find that 99.999 percent are not Cr(VI)
21 sensitive.

1 I would like to show you some of the
2 numbers that have come up in this discussion
3 and it answers that, obviously, came up
4 earlier, which is what is the concentration of
5 the TRUE Test patch. And it's 8 micrograms of
6 chromium per cm². And this is based on .23
7 percent in a gel on paper. And we actually
8 went through that calculation versus a patch
9 test which was done in a Finn Chamber which is
10 0.5 pet petrolatum or Vaseline for those of us
11 who are not quite as sophisticated. And that
12 comes up with basically the same number of 7,
13 8 micrograms.

14 The LLNA from DMSO that Kimber did
15 had a level of 10. In 1 percent L92, it was
16 15. Kligman 1966 was cited in Schneider &
17 Akkan in 2003. And this was extrapolated by
18 Schneider & Akkan to come out to be a level of
19 111. There were multiple sources cited in the
20 LLNA in Schneider & Akkan in 2003 to come up
21 with a level LLNA of 116. And then again with

277

1 water from the Ryan 2000, we don't get it as a
2 sensitizer at 44 micrograms of chromium per
3 centimeter squared.

4 There's a range here, but it's quite
5 a range from, you know, 7 to 116 or even
6 possibly higher since we don't know what
7 happens with water at 44. And we'd like you
8 to just contrast this with a number that has
9 been suggested by EPA at 1.0018 micrograms of
10 Cr(VI) per centimeter squared as a level that
11 we should use a level of concern.

12 I'm going to summarize. And I don't
13 have the numbers in here, so I'm safe. We do
14 believe that LLNA is for induction only. We
15 don't believe that it has been validated for
16 use in quantitative assessment. And including
17 the author and one of the prime people behind
18 the LLNA has said that we also want to make
19 sure that we state that it cannot be used for
20 evaluating thresholds for elicitation because
21 we have seen that posed by some people.

1 The MET is for elicitation only.

2 And we want to remind you that there is a
3 large amount of information that is available
4 for clinical experiences with Cr(VI). And we
5 believe that when you're evaluating chromium,
6 Cr(VI) particularly, and ACC-treated wood, you
7 need to look at the weight of the evidence and
8 the wealth of the evidence as a number of the
9 reports state.

10 The reports on LLNA state you must
11 look at it, and, in fact, human data is the
12 best data that should be used and should be
13 used first before any of these other tests.

14 From the standpoint of the case
15 study, we believe that EPA's assessment is
16 overly conservative. Estimated levels of
17 Cr(VI) from exposure to ACC-treated wood are
18 significantly lower than the levels used in
19 clinical tests which don't result in
20 sensitizing people. And we believe that
21 exposure to ACC-treated wood will not increase

279

1 the number of chromium sensitive individuals
2 in the general population.

3 I'd like to take this opportunity to
4 thank everyone for allowing us to come and
5 speak and to lay out our concerns regarding
6 the assessment that has been presented by EPA.
7 And we will be glad to answer any questions.
8 If there are any additional references,
9 please, let us know. Thank you.

10 DR. HEERINGA: Thank you very much
11 Dr. Youngren. Are there any questions? Dr.
12 Hayes.

13 DR. HAYES: On your last slide
14 before your summary.

15 DR. YOUNGREN: Yes.

16 DR. HAYES: My recollection in
17 reading most of these articles that there
18 wasn't much in them to indicate any
19 analyticals as to the amounts present.

20 DR. YOUNGREN: The amounts.

21 DR. HAYES: These ug/cm2. In most

280

1 the articles, it didn't say that they did
2 analytical to actually determine that's what
3 was there. They dilute it to that or they
4 accepted it as the value.

5 Do you have any insight into that?
6 How good are these numbers?

7 DR. YOUNGREN: Which set of numbers?
8 I know that the TRUE Test and the patch test
9 numbers have been checked very accurately.

10 DR. HAYES: Have they gone back, and
11 they've checked them even after shelf life;
12 and it's still the number?

13 DR. YOUNGREN: Yes. Because are
14 very much of an advocate about the fact that
15 those are exact numbers. And if, in fact, you
16 go on there, for example, those who sell the
17 T.R.U.E Tests, the allergen patch tests that
18 we have some here, you go onto their web site,
19 they give quite specific details about their
20 testing.

21 DR. HEERINGA: Dr. Maibach.

281

1 DR. YOUNGREN: Do you want to
2 respond to the LLNA at all?

3 DR. MAIBACH: The TRUE Test was
4 approved by the biologics division of the
5 Agency. And, intermittently, they have
6 provided that analytic data, and it is a very
7 stable system.

8 DR. HAYES: That is really the only
9 one that we know for sure that these analytics
10 are what they say they are?

11 DR. MAIBACH: About 15 years ago,
12 another system in petrolatum was approved by
13 the dermatologic division of the Agency. And
14 those numbers, as I recall, were not quite as
15 stable but were within an 80 percent margin.

16 DR. MENNE: I just wonder whether
17 your second to the last picture where you're
18 making a comparison of active sensitization
19 data and experimental data, if there's a
20 little mix up of different things. Because we
21 have a mix up of two systems. The one system

282

1 is a diagnostic test system is designed in a
2 way so that we can apply it maybe once in a
3 lifetime or twice in a lifetime on patients.
4 And the intention is that it should not be
5 sensitizing. So we have intentionally
6 selected a concentration that is not
7 sensitizing and this is not irritating.

8 The LLNA are using doses which are
9 intended to illustrate a hazard for a chemical
10 when you come in contact with consumers. And
11 that is to say it's also taking into
12 consideration that such exposure might be
13 repeated maybe daily or lifetime. So I think
14 it's a very -- it's maybe a little misleading
15 to put them up side by side. Because one test
16 is for illustrating a hazard by a lifetime
17 exposure, and the other one is a diagnostic
18 test to illustrate whether an individual is
19 sensitized and it's used once in a lifetime.

20 Thank you.

21 DR. HEERINGA: Yes, Mr. Morgan.

1 MR. MORGAN: I understand the
2 difference that you're driving at. We did
3 this for illustrative purposes. And, again, I
4 think Dr. Meade picked up on this point. It
5 is the application of uncertainty factors.

6 As you said, the sensitization deal
7 is a once in a lifetime. And it's at a level
8 that you want to make sure you want to
9 sensitize it. As you've described the LLNA,
10 it's for daily use going on. If you look at
11 the first four numbers on the slide, they are
12 fairly close together. The LLNA gives us
13 numbers between 10 and 15. The diagnostic is
14 between 7 and 8.

15 We aren't saying that they aren't
16 there. We're talking about the level of
17 uncertainty factors that have been applied to
18 the analysis. Repeatability is a separate
19 issue. I think this goes more to direct
20 intraindividual intraspecies uncertainty
21 factor that's been applied when we test 60,000

284

1 people a year and we don't sensitize them.

2 DR. MENNE: I still think it's a
3 good idea to put them up side by side. It's
4 very different things.

5 DR. YOUNGREN: I understand what
6 you're saying.

7 DR. MEADE: I'd just like to comment
8 on your suggestion that to be more appropriate
9 the LLNA should have been run using water as a
10 vehicle. And I guess I would ask you whether
11 you would expect if dermatologists -- and I'll
12 ask the dermatologists -- ran an open
13 epicutaneous test in place of a patch test and
14 just dropped water on the back of an
15 individual containing the compound whether
16 they would expect to see a response.

17 Water is not an appropriate vehicle
18 for the local lymph node assay. It is
19 nonoccluded. There is another than the
20 surfactant abilities of the vehicle or the
21 fact that the vehicle evaporates and leaves

285

1 the material on the skin that keeps that test
2 article against the skin. If you're proposing
3 to run it in water, you really should propose
4 not to run it at all because it would be an
5 invalid test.

6 And in making the comparison between
7 water and L92 and DMSO and suggesting that
8 possibly it's the irritant effect of either
9 L92, the surfactant or DMSO, that, again, is
10 the purpose of the control. There is no more
11 DMSO in the high dose of chromium than there
12 is in the vehicle which is DMSO. So you're
13 controlling for the irritant effect of DMSO.

14 The DMSO or the L92 may play some
15 role in initiating those factors in the skin
16 causing cytokine release; and, therefore,
17 affecting Langerhans cell migration. But
18 simply the irritant effect that you get false
19 positives in the local lymph node with potent
20 irritant compounds that you are testing is a
21 very different effect than what you were

286

1 proposing here for the vehicles.

2 DR. YOUNGREN: Do you want to
3 respond as a dermatologist to the comment?

4 DR. HEERINGA: Dr. Maibach.

5 DR. MAIBACH: For a change, I know
6 the answer. We did an open epicutaneous test
7 many years ago for validation, unpublished and
8 probably never will be published. But we were
9 able to open application in the open
10 epicutaneous test to sensitize in a
11 dose-related manner with both petrolatum as
12 the vehicle and water as a vehicle.

13 DR. MEADE: With chromate?

14 DR. MAIBACH: Yes, with chromate,
15 potassium dichromate. Now, of course, that's
16 the guinea pig and not man.

17 DR. MEADE: How many repeat
18 applications did you do?

19 DR. MAIBACH: It's actually run as a
20 21 day assay. And then you have a rest period
21 and then a challenge. It's really a

287

1 remarkably good test. It's just a shame it's
2 so much work.

3 The second part is an intellectual,
4 religious, rhetorical issue. I'll go through
5 the logic, but there is no solution. And it
6 confounds a great deal of diagnostic -- of
7 predictive testing both in the guinea pig and
8 in man and in the mouse.

9 We don't have a method today to deal
10 with the question that you bring up. And I'm
11 sure you've run across it in your laboratory.
12 If you use DMSO as a background subtract
13 control. Which you certainly would do, you
14 then have the irritancy of the DMSO; but you
15 don't have the irritancy of the allergen that
16 you study. In this case, it's chromate. The
17 chromate has a separate irritancy.

18 So in essence, in order to do that
19 in a guinea pig is very difficult. And you
20 have the same problem in the lymph node assay
21 because, if you want to do the irritancy

288

1 control for the combination to both irritants;
2 well, that's the test. So it's intellectual,
3 logistical problem and probably produces many
4 false positives in animal and the lymph node
5 testing.

6 DR. HEERINGA: Dr. McMahon.

7 DR. MCMAHON: I'd just like to
8 provide a few clarifications of my own to the
9 last presentation.

10 It is true that in the background
11 document regarding uncertainty factors that
12 there was an application of a large
13 uncertainty factor. But I believe I also
14 stated that other possibilities were other
15 uncertainty factors were possible there. And
16 actually that is, as you have heard earlier,
17 that's one of questions to the Panel regarding
18 the magnitude of uncertainty factors and how
19 they should be applied. That was but one
20 example.

21 In citation of the 2001 Boukhman and

289

1 Maibach paper regarding the weight of the
2 evidence, I note that the studies cited were
3 from the 1960s. And you've also seen some
4 newer dated data that we have provided in our
5 presentation regarding minimum elicitation
6 thresholds.

7 With regard to the IRIS statement
8 that the concentrations of hexavalent chromium
9 are likely to be lower than those required to
10 cause induction, that statement is there. But
11 they keep leaving out the last sentence which
12 says, "However, these concentrations may not
13 be lower than concentrations required to
14 elicit an allergic in individuals who have
15 been induced."

16 I just wanted to provide those
17 clarifications to you. Thank you.

18 DR. HEERINGA: Thank you, Dr.
19 McMahon. Dr. Chu.

20 DR. CHU: My question refers to the
21 testing of Cr(VI) in water. As any

290

1 investigator would know, applying an aqueous
2 solution on the ear of a mouse is extremely
3 difficult because it has fur. And a pure
4 aqueous solution applied on it, it just
5 doesn't stick. It may well be the reason why
6 in other Ryan studies the SI surfactant and
7 DMSO have been added in order to wet the skin.
8 Could you elaborate, please?

9 DR. YOUNGREN: That is correct. But
10 I just wanted to illustrate the fact that Dr.
11 Ryan did go ahead and do water because he felt
12 that there was an issue regarding the fact
13 that, when we're talking about exposure really
14 in aqueous solution, what we do comparable or
15 have we put on a potentially a safety factor
16 here because of that.

17 The other question comes, as I
18 understand it, that you can't keep water
19 necessarily on the mouse's ear. But also does
20 that water with the compound also stay on the
21 human. I don't want to get into that kind of

291

1 discussion. But, again, that was where some
2 of it come up. And, again, we're just
3 reporting the data that is there.

4 DR. HEERINGA: Dr. Meade.

5 DR. MEADE: Just a very quick
6 comment to that. I think that possibly the
7 purpose of that was a little bit misstated
8 there. The sole purpose of that paper was to
9 find a vehicle that was appropriate for
10 testing chemicals that are only soluble in
11 aqueous solutions. So it was up front that
12 was the issue for the paper being done.

13 DR. YOUNGREN: I agree with you
14 totally. I'm sorry if I misstated that. I
15 apologize.

16 DR. MEADE: One other thing I'd like
17 to point out. Howard has reminded us on
18 numerous occasions throughout the day of
19 challenges to move the science forward. And
20 the quote that has been brought up by Iain was
21 in 2001. And the science has moved forward

292

1 since then.

2 DR. HEERINGA: Just one minor
3 additional point, Dr. Younger. Your
4 projections of the prevalence or lack of
5 prevalence of Cr(VI) sensitivity, I think
6 selection bias is inherent in this sort of
7 multistep process are enormous enough that I
8 wouldn't trust that number. The exercise I
9 understand. But I think the selectivity in a
10 dermatologist's population and selectivity of
11 application of the TRUE Test to dermatology
12 populations. I think the 99.9 -- I don't know
13 what the number is, but I think that
14 particular estimate --

15 DR. YOUNGREN: Mind you, that's not
16 of the whole population. That's looking at
17 those who visit dermatology offices.

18 DR. HEERINGA: And we assume that we
19 have randomly distributed applications of the
20 T.R.U.E Test, too.

21 DR. YOUNGREN: No, we don't. We

293

1 already know that those are people that have
2 been in some ways already chosen because
3 there's an issue. You don't go to necessarily
4 get tested. However, there will be some
5 people, obviously, who will show up with a
6 chromium positive, as Dr. Maibach has
7 mentioned, where we can't explain why they
8 did. In other words, that's not necessarily
9 what they were going for.

10 But we have some other prevalence
11 data for the general population which is the
12 .08 percent that I talked about that will be
13 presented by another presenter later.

14 DR. MENNE: It's a highly
15 problematic exercise you're making there
16 because we all know that very few individuals
17 are patch tested in the United States. In
18 Denmark, the 5 million inhabitants and the
19 rest European area. We have a frequency in
20 Denmark with the 5 million, it's 30,000
21 patch-tested a year. And it's the same

294

1 frequency of chromate sensitivity as in the
2 U.S.

3 Here in the U.S., you're patch
4 testing 60,000 after 300 million. You can
5 see, you know, it's pure nonsense, this
6 calculation because it only depends on the
7 patch test frequency. So you cannot do this
8 calculation. And you should say, okay, you
9 take out this picture. Because you go from
10 the patch test -- you say that everybody who's
11 chromate allergic will come to a
12 dermatologist. And that's not true.

13 DR. YOUNGREN: But wouldn't you say
14 that those are showing ACD or a large portion
15 of those who were showing ACD would be going
16 to see a dermatologist?

17 DR. MENNE: I don't think so, no.
18 And particularly that's a great difference
19 from one country the other.

20 DR. YOUNGREN: I'm going to ask a
21 question. Why are there so many people that

295

1 are patch-tested?

2 DR. MERENDA: Because they have
3 allergic contact dermatitis. You know, you
4 could say --

5 DR. YOUNGREN: But then that would
6 say to us you have less of that.

7 DR. MERENDA: Let me give you an
8 idea. For example, if you go to San Francisco
9 and patch test the background population, 10
10 percent of the females would be nickel
11 allergic. And, you know, that's not reflected
12 in frequency of patch testing. Not at all.
13 And all these people, they have intermitting
14 contact dermatitis from jewelry. This is a
15 nonsense exercise you're doing.

16 DR. FOULDS: I would agree with
17 Torkil that it not only depends on seeing a
18 dermatologist, it depends which dermatologist
19 you see. There are many people who go to see
20 a dermatologist with allergic contact
21 dermatitis who are never patch tested in the

1 United Kingdom and are told that they have a
2 constitutional or occupational induced skin
3 disease and they'll have to give up their
4 work. And if it goes on, well, that's because
5 he has been born with the tendency and here's
6 a little bit of steroid cream to treat them.
7 It doesn't automatically mean to say that they
8 are followed up by a patch test and
9 investigation and avoidance measures.

10 DR. HEERINGA: Are there any other
11 comments at this point in time? Yes, Mr.
12 Morgan.

13 MR. MORGAN: I'm a little confused
14 in the response, and I'm making an assumption.
15 If I have the wrong assumption, I'll accept
16 that.

17 But, Dr. Menne, you said that if you
18 just tested 10,000 people in the San Francisco
19 Bay area, I think it's the normal population,
20 you have 10 percent positive to nickel.
21 That's an assumption.

1 DR. MENNE: That's been done.

2 MR. MORGAN: Okay.

3 DR. MENNE: That's not an

4 assumption.

5 MR. MORGAN: All right. But when I
6 look at the prevalence data that I see coming
7 out of the North American Contact Dermatitis
8 for the last two years, shows nickel that
9 population sensitivity is about 16 percent
10 nation wide, which would lead me to believe
11 that there's a higher propensity of people who
12 have a problem would start into the system
13 that ends them up being patch-tested. And so
14 if you have a problem and you get there,
15 because normally when I go to the doctor, I
16 don't normally get patch-tested as a part of a
17 routine physical.

18 DR. MENNE: Can we use time on this?

19 DR. HEERINGA: I think that I'd like
20 to draw -- I sort of kicked this off. I think
21 it's an issue that we can pick up again, but I

298

1 want to make sure we move along to some of the
2 other public comments. It was just an issue
3 that the prevalence rate is obviously at some
4 point in time an important factor. But I
5 think we all agree there's enough disagreement
6 around the table as to what that is and how
7 to estimate it.

8 Are there any other questions for
9 Dr. Youngren, Mr. Morgan, or Dr. Maibach?

10 At this point in time, I'd like to
11 take a short break. Paul, do you have
12 anything?

13 Let's take a 15-minute break and
14 return here at just prior to 20 minutes to 4.

15 And if I could, could I ask from the
16 audience Paul Cooper and Deborah Proctor, Joel
17 Barnhart, and Warren Sickles, Jane Vergnes, and
18 Richard Wiles, could you touch base with us if
19 you have travel difficulties, if you're
20 planning to be out of here this evening?

21 These are additional public commenters who are

299

1 ordered in sequence here. And I want to make
2 sure that we can accommodate you if need be.

3 [Break at 4:05 p.m.; session
4 reconvened at 4:25 p.m.]

5 DR. HEERINGA: Before we begin with
6 the public comment this afternoon, Dr. Gary
7 Burleson has arrived. As I indicated this
8 morning, he was going to be delayed in getting
9 here. He's arrived now. Let's give him a
10 chance to introduce himself.

11 DR. BURLESON: My name is Gary
12 Burleson. I'm from BRT, Burleson Research
13 Technology, a contract research lab in
14 Raleigh, North Carolina.

15 DR. HEERINGA: Thank you very much.

16 At this point, I'd like to continue
17 with the public comment. And the next public
18 commenter who is scheduled is Paul Cooper of
19 the University of Toronto, and he's
20 representing Osmose, Incorporated. Dr.
21 Cooper.

300

1 There was a handout of a manuscript
2 or a draft report from Dr. Cooper that was
3 distributed to members of the Panel and should
4 be placed in the docket as well.

5 MR. HORTON: I'm John Horton,
6 director of commercial development for Osmose,
7 Inc. We are a manufacture and marketer of
8 wood preservatives worldwide. And at present
9 and for approximately the last 10 years since
10 1993, I believe, Osmose has been the only EPA
11 registration holder for ACC -- acid, copper,
12 chromate -- wood preservative in the U.S.

13 Over this time, Osmose distributed
14 only a small volume of the ACC wood
15 preservative material for treatment of mainly
16 wooden slats that were used in the
17 construction of industrial cooling tower
18 equipment.

19 We have asked that Dr. Paul Cooper,
20 Professor at the University of Toronto,
21 Faculty of Forestry and Wood Science, come

301

1 here today to present an overview of chromium
2 reduction process of ACC-treated wood as
3 compared to the CCA-treated wood.

4 Professor Cooper will base his
5 comments directly on both studies that he
6 conducted that were sponsored by Osmose and
7 his own independent research conducted at the
8 University of Toronto.

9 And if anyone has a question after
10 his presentation that I might answer about
11 industry, I would be happy to address it.

12 DR. HEERINGA: Thank you very much.

13 DR. COOPER: I thank you very much,
14 Mr. Chairman and Panel members for allowing me
15 to come here today to talk about some of the
16 work that we've been doing.

17 We've been working on the reactions
18 of the chromium preservatives but primarily,
19 chromated copper arsenate and wood for some
20 time. And as John mentioned, we've done some
21 amount of work on the acid copper chromate.

302

1 So it's mainly to give a bit of insight into
2 what's going on with the interactions with
3 wood and to get some comparison between the
4 two preservative systems.

5 So just, again, I'm going to give a
6 little bit of background that has been given
7 but maybe in a little different way. What we
8 have here is very dilute solution of a
9 preservative system in water that has got a
10 high amount of hexavalent chromium which is
11 yellow in color, and that is then reacted in
12 pressure vessel or impregnated into wood in a
13 pressure vessel. And that's then followed by
14 a chemical reaction which we loosely term as
15 fixation reactions.

16 So that shows some of the structure
17 of wood. So just to give you an idea, this
18 void space within the wood is totally filled
19 with the treating solution. And then the
20 chemicals start to react with the chemicals
21 within the cell wall and with each other, and

303

1 are deposited or precipitated either on the
2 surface of those cell lumens or within the
3 cell wall itself.

4 The reactions have been mentioned a
5 little bit before. But primarily as was
6 mentioned by Mr. Morgan, the chromium is a
7 fixing agent. It really drives this total
8 insolubilization process of the other
9 chemicals. And during this process, oxidizes
10 wood components. And, in fact, it is reduced
11 to trivalent chromium.

12 In chromate copper arsenic, the
13 arsenic plays quite a important role in the
14 rate of this reaction because it allows
15 precipitation of chromium arsenates which help
16 to drive the reaction and speed up the
17 reduction of chromium. In the absence of
18 that, in acid copper chromium, for example,
19 it's a reaction between the chromium and the
20 wood components. And in going through that
21 reaction, the pH increases the acidity is

304

1 decreasing within the system. And that allows
2 copper to ion exchange and otherwise react
3 with the wood and become less soluble within
4 the wood.

5 Now, the way that we follow the
6 reaction -- this picture is not very clear.
7 But we actually squeeze chemical out of the
8 wood structure at different times after
9 treatment and analyze it for hexavalent
10 chromium for copper, for arsenic, and CCA.
11 And we analyze that to get an idea of how the
12 reaction is proceeding and how quickly it is
13 going. And so that way we can look at the
14 different variables that affect this fixation
15 process.

16 You've seen this slide twice before
17 already. But I think the point I would like
18 to make is that these variables which have a
19 tremendous effect on how fast the chromium is
20 reduced, and especially temperature, these
21 have been well-explored for CCA. There have

305

1 been many, many studies over the years. And
2 we have a pretty good handle on what the
3 variables are. And that sort of work, I
4 think, will have to be done for acid copper
5 chromate in order to determine what though
6 factors and effect are.

7 I'm sorry for this. This, though,
8 does show the rate of change of concentration
9 with time. So the very faint blue line is
10 chromium, hexavalent chromium, being reduced
11 over time within the cell. The green is the
12 arsenic, and the red is copper and chromate
13 copper arsenate. So that's the type of
14 information we develop from the ways we follow
15 fixation.

16 And the temperature factor was
17 mentioned before very strong and has a
18 tremendous influence with CCA. And I think we
19 can expect that same sort of thing with acid
20 copper chromate that we're going to have a
21 very strong. And I'll show a little bit of

306

1 that type of result as well.

2 This shows graphically the
3 comparison between copper chrom arsenate in
4 yellow and acid copper chromate in the green.
5 And I'll show the data next just to confirm
6 what was mentioned by a couple of the previous
7 speakers that acid copper chromate takes
8 longer for the chromium reduction because it
9 has higher chromium content and because it
10 does not have the arsenic to help to take the
11 reaction to its equilibrium.

12 So if we look at some of the times
13 that we have found in laboratory testing and
14 field testing where we compare the time to
15 complete, and that's more than 09.5 percent of
16 the chromium being reduced in the wood, the
17 times are a bit longer than were mentioned
18 earlier. But the acid copper chromium, for
19 example, with a 1 percent solution at about 70
20 degrees Fahrenheit with the .4 pounds per
21 cubic foot -- that's the first two rows --

307

1 about 34 days to get to that 99.5 percent
2 chromium reduction versus about 18 days in
3 CCA-treated pine.

4 As we increase the temperature to 50
5 degrees centigrade, or about 120 degrees
6 Fahrenheit, the time is shortened drastically
7 to 32 hours in the case CCA and 48 hours in
8 the case of acid copper chromate. And if we
9 increase the retention of the preservative in
10 the wood, go from 6.4 kilograms per cubic
11 meter to 20, we extend the reaction times
12 quite a bit with both systems but especially
13 with the acid copper chromate.

14 We've done very limited comparisons
15 of species. And these show the rates of
16 chromium fixation, now expressed as percent of
17 total, and we can see that the species effect
18 and the sap wood of pine and the sap wood of
19 Douglas fir which are the two bottom limes
20 are, quite similar and they take quite a bit
21 longer to go through these reactions than the

1 hardwood which is the center part, the dead
2 part, of a Douglas fir tree which reacts much
3 more quickly because of the chemicals and
4 extractants that are present in the heart wood
5 of the species. So there are species
6 differences as well.

7 We've done some very limited Kim
8 wipe dislodgeability tests or wipe texts for
9 hexavalent chromium. This was done at the
10 treating plant. So we were kind of limited on
11 the ages of the wood or the extent of the
12 fixation that had occurred. But the time on
13 the bottom axis is the time after removal from
14 the treating plant, and on the vertical axis
15 is in ug/cm² of hexavalent chromium.

16 And what we have found is that the
17 ACC, because of its higher chromium content,
18 does have a higher amount of hexavalent
19 chromium that is dislodged up until, as was
20 mentioned before, the reaction is almost
21 complete. So it's going to be a little bit

309

1 more of an issue with acid copper chromate
2 than it was with chromated copper arsenate in
3 terms of the amount of material that could be
4 wiped from the surface.

5 This shows really the same data but
6 now expressed as percent fixation. And it
7 sort of spreads things out a little bit
8 because, in this case, again because the
9 chromium content is higher in the acid copper
10 chromate at the same percentage of reduction,
11 we have more free hexavalent chromium in the
12 wood in the latter preservative. So, again,
13 we get this difference between them.

14 The tables in the paper that I
15 prepared give numbers that you can look at.
16 And just to put it into context to some of the
17 numbers we have seen today, at about 95
18 percent of the chromium reduction in CCA and
19 about 98 percent of the chromium reduction in
20 acid copper chromate, we're down in the order
21 of .02 to .03 ug/cm² which is approximately

310

1 the same as .0189 that was looked at.

2 There's one thing that we have to be
3 aware of, and there certain circumstances
4 where the chromium that's reduced to trivalent
5 chromium can be reoxidized to hexavalent
6 chromium. And the example that I have here is
7 with bleaches, deck brighteners and oxidizing
8 agents that are used to cleanup decks. And
9 anything that contains something like sodium
10 hyperchloride sodium percarbonate, or sodium
11 hydroxide, will cause some of the chromium in
12 treated wood to be reoxidized to the
13 hexavalent form. That's something we have to
14 be aware of both for CCA and for acid copper
15 chromate.

16 The next slide just gives some of
17 the quantification. If we compare the amount
18 of chromium that we will wash off a square
19 meter of deck if we just apply water to it and
20 then compare it with these deck washes, the
21 ones in reds show that sodium hydroxide will

311

1 remove about 15 times as much chromium. And
2 with the other more aggressive oxidizing
3 agents, it will remove even higher amounts.
4 And most of this chromium, in fact, is
5 hexavalent. So this is something that has to
6 be considered in the application of these
7 post-use treatments.

8 Now in Canada, we have an issue with
9 temperature in treating. We have very limited
10 time for treating where the testimony
11 temperatures are high enough to advance these
12 reactions fairly quickly. And as mentioned
13 before, it can take weeks and even months for
14 the reactions to take place at low
15 temperatures. So virtually every treating
16 plant in Canada has gone to an accelerated
17 fixation process where they actually steam or
18 kiln heat at high humidity the wood in order
19 to make sure in the case of CCA that the
20 reactions are near complete before they're
21 removed.

1 This is not a common practice in the
2 U.S.A, but it may be something that may be
3 more necessary if we go to a system that takes
4 quite substantially longer for the reactions
5 to take place.

6 There was a mention made of the
7 diagnostic test for fixation of chromium which
8 is the chromium tropic acid test which is the
9 spot test on the upper left which allows us to
10 tell when the hexavalent chromium content in
11 the wood drops to about 15 parts per million.
12 Then we can't see the purple color reaction
13 any more. We developed and worked with a more
14 sensitive method that uses diphenolcarbozide
15 which allows us to take a small boring of wood
16 and leach it very briefly in water and react
17 it with diphenolcarbozide to get a
18 quantitative estimate of how much unreacted
19 chromium there is.

20 The point I'm making here is, again,
21 this quality control is something that's

313

1 becoming mandatory in the Canadian treating
2 plants. And it's something that may become
3 more necessary here as well.

4 Just to be sure that before the wood
5 is moved off the protected storage within the
6 treating plant and trucked to a retail yard
7 and perhaps gets to the ultimate consumer,
8 there's going to have be to some way of
9 checking to make sure that these reactions are
10 complete if you want to make sure you're down
11 to these levels of surface availability that
12 you've been talking about today.

13 Just to sum up, I'd like to say that
14 the acid copper chromate does take longer for
15 these reactions to complete, about 50 percent
16 longer or more depending on the conditions.
17 Until it's completely reduced, the amount
18 that's available on the surface is higher in
19 acid copper chromate than in CCA. Under
20 concern conditions, the Cr(III), whether it's
21 in any type of chromium preservative, can be

314

1 reoxidized. And this has to be taken into
2 account.

3 And it's my feeling that accelerated
4 fixation, controlled fixation in combination
5 with some quality control procedure to monitor
6 the reduction of chromium may be needed if
7 we're going to work with a system that does
8 take somewhat longer to react with the system
9 we're familiar with, the chromated copper
10 arsenate.

11 Thank you very much for your time.

12 DR. HEERINGA: Thank you very much,
13 Dr. Cooper.

14 Are there any questions from the
15 Panel for Dr. Cooper on his presentation, ACC
16 or the Osmose?

17 DR. FOULDS: I was interested in the
18 effects of these different deck washes and
19 brighteners on your sort of CCA-treated wood.
20 Is there any information available on the
21 ACC-treated wood in a similar way?

315

1 DR. COOPER: Well, no. Because the
2 acid copper chromate has not been used, I
3 would say, in North America for this type of
4 application, there is no practical way to test
5 it. Now, to test it in the laboratory like we
6 did, it could be done but it has not been
7 done. But my expectation is that the chromium
8 would be just as susceptible or similarly
9 susceptible to it.

10 DR. FOULDS: Because in some ways,
11 there are quite sort of alarming levels of
12 hexavalent chromium being released by the
13 sodium hydroxide. And presumably you
14 anticipate equivalent levels with ACC.
15 Obviously, the data isn't there. Are there
16 warnings on these sort of deck washers and
17 brighteners about any potential risk of this
18 at all?

19 MR. COOPER: I don't. Perhaps John
20 could answer that. I think that the industry
21 is certainly aware of this issue. And I

316

1 believe that they've withdrawn this type of
2 product for treated wood products. But I'm
3 not sure how well the consumers are informed
4 of this.

5 MR. HORTON: When the work first
6 came out, we did recommend that these types of
7 oxidizing, brightener cleaner products not be
8 used on the wood. We just recommend for
9 cleaning the treated wood products out there
10 with chromium in them just a mild soap and
11 water now.

12 DR. HEERINGA: Dr. Menne.

13 DR. MENNE: I just wonder, how did
14 you get the chromate into the wood? Is there
15 any pressure tanks? How is the process?

16 DR. COOPER: I should have spent a
17 little more time maybe on I think my second
18 graph or second figure. I guess we're going
19 to back up to it.

20 Down in the bottom right-hand
21 corner, that's a pressure vessel or a pressure

317

1 retard. So the wood is stacked and put into
2 that vessel. A vacuum is applied to draw all
3 of the air out of the wood and out of the
4 pressure vessel. That vacuum is used to draw
5 the solution in. It's pressurized at 150
6 p.s.i. And I should know what that is in kilo
7 pascales, but I'm not too sure. It's fairly
8 high pressure.

9 After the treatment, which could be
10 anywhere from less than an hour to several
11 hours, the chemical is drained. And a final
12 vacuum is applied to sort of remove the excess
13 solution that is on the surface of the wood.

14 DR. HEERINGA: Not seeing any
15 additional questions, I want to thank you
16 very, very much for the presentation.

17 At this point in time, I'd like to
18 move on to our next public commenter. And
19 that is Dr. Deborah Proctor of Exponent, Inc.,
20 and she's representing Tierra Solutions, Inc.
21 Dr. Proctor.

318

1 Do you need a little help setting
2 that up, or are you ready?

3 Just a note, please feel free to
4 bring them to Paul and myself and we'll get
5 them loaded for you.

6 DR. PLEUS: I have a question. I
7 don't know if we have time. I had a question
8 for Dr. Cooper, and I don't know if it's worth
9 doing this for the moment.

10 DR. HEERINGA: Yes. Dr. Cooper if
11 you don't mind coming back up to the
12 microphone.

13 DR. PLEUS: On your page 10 of your
14 report, it says Table 6. I think that was one
15 of the slides that you had presented on the
16 effect of different deck washes, brighteners
17 on relative leaching on CCA?

18 DR. COOPER: Right.

19 DR. PLEUS: And then you have the
20 ratio of leached element compared to water.
21 The question I have is: Is that Cr(VI) that

319

1 was measured as species?

2 DR. COOPER: We analyzed the total
3 chromium and Cr(VI). And I should have put
4 here the ratio. But it was like more than 80
5 hexavalent chromium,.

6 DR. PLEUS: More than 80 percent.

7 DR. COOPER: Yes.

8 DR. PLEUS: One question I have is
9 just maybe the underlying raw data for
10 something like that. Would one way to do this
11 maybe go back to Table 4 or Table 5 and then
12 just kind of apply some of those ratios to
13 some of these numbers? Is that a fair way to
14 do that to get a quantity?

15 DR. COOPER: I don't think there's
16 much relationship. The Tables 4 and 5 were
17 the fixation at different times. And I
18 believe that the brighteners, the bleach
19 effect, is totally different. They were all
20 on material that had been completely reacted
21 and fixed and in some cases been in service

320

1 for some time. So I don't think there's any
2 relationship between them.

3 DR. PLEUS: I'm just trying to come
4 up with a value, and maybe they're not swipe
5 samples or something like that.

6 DR. COOPER: I see what you mean.
7 Yes. Unfortunately, we didn't do the wipe
8 test. And what we did was just simply brush,
9 physically brush with a certain volume of
10 water and compared that with the same amount
11 of the deck wash followed by a wash with
12 water. That was the basis that we did that.

13 DR. PLEUS: Thank you.

14 DR. HEERINGA: Dr. Cooper, one more
15 question. Dr. Isom has a question for you.

16 DR. ISOM: Perhaps for you, and then
17 maybe the EPA with regards to the product,
18 pressure-treated products that are on the
19 market and perhaps to reach the market. Does
20 the industry have a standard with regards to
21 how long they fix the products? Does that

321

1 vary from manufacture to manufacture? If I go
2 down to the lumber yard and buy
3 pressure-treated wood, does it vary depending
4 on the source and what I get?

5 DR. COOPER: Well, I think it would
6 vary to some extent. There is certainly a
7 minimum. I couldn't say it's mandated by
8 anyone, but it's an industry standard. But I
9 believe it's 48 hours that they keep it
10 protected on a drip pad so that anything that
11 drips off can be recovered.

12 But the way that it was alluded to
13 in a sense that the way that the construction
14 goes is quite different from the treating
15 patterns so they will treat all year round.
16 So some material may be in inventory within
17 the treating plants for months before it gets
18 called by the Lowes or Home Depot to come to
19 their place. So I'd say there's a wide range
20 from, it could be as low, hopefully not, but
21 as low as 48 hours to several months before it

322

1 gets out to the retailer.

2 DR. ISOM: So the consumer would
3 potentially be exposed to different amounts of
4 Cr(VI) depending upon the source and when they
5 buy it.

6 DR. COOPER: The hope is that by the
7 time they receive it, because these reactions
8 are just going on, chugging along all the
9 time. And the hope is that it will be
10 completely reduced before the consumer gets
11 it. I don't know of any real tests. We've
12 looked at stuff that we've bought. We've
13 looked at stuff that's been in service for
14 short time and have not found hexavalent
15 chromium. But that's not to say that it's
16 impossible for it to occur.

17 DR. ISOM: So with regards to
18 licensing, is there a standard that you look
19 for there? Or is it just the product dipped
20 in this or pressure treated and that's it? Or
21 do you have a standard with regards to, let's

323

1 say, the temperature it should be treated and
2 how long?

3 DR. COOPER: Yes. There are process
4 standards, for example, with American Wood
5 Preservers Association, that describes the
6 pressures, vacuums, times, temperatures,
7 things like that, and the amount of chemical
8 that should be in the wood.

9 Then there are, I would say, more
10 like industry standards in regard to how the
11 plant is operated to be safe. And that's the
12 one that involves the storage times and so on.
13 The American Wood Preservers Association has
14 the chromotropic acid test as one of their
15 standards as a recommended standard. But I
16 don't believe it's mandated by anyone.

17 DR. HEERINGA: Thank you very much.
18 One more question for Dr. Cooper.

19 DR. BAILEY: What sort of protective
20 equipment are worn by your workers in pressure
21 treating your lumber?

1 MR. HORNER: Well, in today's
2 treating plant environment, they do wear PPE.
3 And it would depend on their responsibilities
4 at the treating plant. There are people who
5 actively do get close to the freshly treated
6 wood. But typically today, the wood is
7 brought out either on automated conveyor belts
8 and moved on a transfer table. And in that
9 case, the wood bundles. And they are all
10 still in the bundled form. They are picked up
11 with a forklift and taken to a holding area
12 and set down.

13 So relatively speaking, in today's
14 plant environment, because of the need also to
15 turn high productions around, there really is
16 hardly any at all actual real contact with the
17 wood itself by the workers.

18 Now after the material has sat for a
19 while and it is moved out, lets say, from the
20 holding area, the 48 or 72 hours, and then
21 moved out to a storage yard, it will still be

325

1 covered in a paved area, there might be some
2 handling at that time. But, of coarse, but
3 the workers are given gloves to wear and in
4 some cases aprons. Typically, at that time
5 the wood is not wet or dripping.

6 Things have changed quite a bit over
7 the past 20 years in the industry. And in
8 some cases, the wood never even leaves
9 coverage until it is shipped out to retailers.
10 Some of our plants are totally enclosed in an
11 environment when it's moved around and kept in
12 holding in a controlled temperature
13 environment for however long before it's
14 released.

15 So exposure should be minimal. And,
16 again, there is always training and proper PPE
17 equipment for whatever exposures would be
18 encounter at the plant.

19 DR. BAILEY: Thank you.

20 DR. HEERINGA: Thank you very much.
21 That's very helpful.

326

1 Okay. Let's turn to Dr. Proctor.

2 MS. PROCTOR: Ms. Proctor, actually.

3 I am an environmental risk assessor
4 and a toxicologist. And my experience in this
5 arena comes from managing and evaluating the
6 chromium contaminated sites in Hudson County,
7 New Jersey. I represent or work with one of
8 the responsible parties which is TR Solutions,
9 Inc. It's the successor to the environmental
10 liabilities of Diamond Shamrock.

11 Could you go back, please.

12 I have also been involved in both
13 the design and implementation of both the
14 Nethercott 1994 study and the Fowler study.
15 And the Fowler study is the basis for the
16 current New Jersey allergic contact dermatitis
17 standards.

18 My objective here today is to
19 provide perspective on the use of human
20 exposure data for environmental health risk
21 assessment. At this point, we have about 15

327

1 years of experience in evaluating the
2 potential allergic contact dermatitis hazard
3 from hexachrome in soil and in surface puddles
4 in New Jersey.

5 I have some updated data on the
6 incidence of hexachrom allergy in the U.S.
7 clinical population from the North American
8 Contact Dermatitis Group. And I want to talk
9 about environment health assessment
10 considerations, exposure conditions, and
11 uncertainty factors. And to the extent
12 possible, based on the limited information
13 that's available, address wood contact
14 specific exposures today.

15 I'm going to talk about the 10
16 percent MET. And I'll try not to reiterate
17 too much of what has already been said. But
18 my spin on this is a little bit different. I
19 am talking a little bit specifically about how
20 these data are applied to environment health
21 risk assessment. I know wood is very

328

1 different from soil. But I think there's a
2 lot of similarities here.

3 I'm going to talk a little bit about
4 the human sensitization data. Perhaps you all
5 know it better than I. But we have looked at
6 this data for application in New Jersey. And
7 then just from a risk assessment perspective,
8 uncertainty factors and kind of doing a
9 reality check on what has been proposed by
10 EPA.

11 I think the concept of a 10 percent
12 MET, or minimum elicitation threshold, may
13 have originated back in 1989 with the NJDEPs
14 derivation of dermatitis-based standard. At
15 that time, they took historical patch test
16 data coming from the 50s, 60s, 70s, and
17 somewhat into the 80s, and estimated the 10
18 percent response threshold. So it's an
19 elicitation-based standard that we apply in
20 New Jersey. And I tell you that today we're
21 cleaning up chromium contaminated soils for

329

1 hexavalent chromium.

2 It was assumed that a 10 ppm patch
3 equalled 10 ppm in soil and that the
4 elicitation threshold was 10 ppm. And it was
5 generally assumed based on the 1966 study of
6 Kligman that it would also be protective of
7 induction. To specifically address this
8 issue, the Nethercott, et al., study was done
9 to generate state of the art data that could
10 be used to describe the dose response
11 relationship.

12 As I think Howard said, some things
13 seem simple until you realize them. It took
14 us several years to realize that the correct
15 dose metric was mass per area not
16 concentration when evaluating the elicitation
17 threshold.

18 What we have considered in New
19 Jersey, it is what is used for the
20 Massachusetts allergic contact dermatitis
21 standard, and I think it's probably the

330

1 correct dose metric as well for hexavalent
2 chromium in treated wood.

3 On the one study by Freedman by 1983
4 DNCB that mass per area was more important
5 than the total area exposed or even the total
6 mass, that concentration in the mass per area
7 was the critical dose metric.

8 Just briefly on the Nethercott
9 study. I know we've gone over this over and
10 over. But I want to mention that, when we
11 started this study, we were really seeking to
12 identify hundreds of individuals in the United
13 States that could patch test as part of this
14 study. We were relatively disappointed when
15 we could only find 102 volunteers. We were
16 even more disappointed when half of those
17 almost weren't allergic in the first round of
18 testing to the TRUE Test patch which we
19 considered the standard diagnostic patch at
20 4.4 micrograms of hexavalent chromium per
21 centimeter squared.

331

1 I guess there's been some debate as
2 to what exactly the diagnostic patch test
3 concentration. As when we conducted this
4 study, we were under the opinion that it was
5 4.4. And we did an independent validation of
6 all of our patches at a separate laboratory to
7 confirm the patch test concentration. So I
8 can tell you that our concentrations are in
9 fact 4.4 as what was our upper bound for
10 hexavalent chromium.

11 We also tested trivalent chromium as
12 well. However, only one individual had a
13 reaction which the dermatologist scored as
14 doubtful. They re-patch tested that
15 individual subsequently. And he had a
16 negative reaction.

17 So what Nethercott allowed us to do
18 at that point was to determine a threshold in
19 the mass of allergen per cm² for each subject.

20 Sorry. This is pretty hard to see.
21 The bar of calculating a 10 percent MET had

332

1 been set by the New Jersey Department of
2 Environmental Protection. And so that is the
3 standard by which we used. We can see that
4 about 5 out of 54 individuals reacted by the
5 second dose level which was 0.088. I
6 apologize for the quality of that table. And
7 which is consistent with our mathematical
8 extrapolation. The 10 percent MET was 0.089
9 micrograms of hexavalent per chromium squared.

10 For improved picture quality, I just
11 wanted to give, for those of you who are not
12 dermatologists and don't see what we're
13 looking at. There's a little square in the
14 middle of that circle. That is a weak
15 reaction. I picked out a couple of the
16 pictures. The reaction at the lowest dose
17 level which is the reaction that has been
18 selected by EPA as the basis for their -- I
19 can't remember their acronym. But basically
20 their starting point for dividing by
21 uncertainty factors was also a weak reaction.

1 Number 8 in this picture is a strong
2 reaction. You can see it's a more obvious red
3 dot. That's all I just wanted to show you for
4 a little bit of perspective.

5 In the Nethercott study, we
6 confirmed that hexavalent chromium sensitized
7 individuals respond to serial dilutions of
8 hexavalent chromium in pretty much a linear
9 manner. Because about half of our volunteers
10 did not respond to the diagnostic patch test,
11 4.4 ug/cm², we believe that the Nethercott
12 study probably represents a conservative
13 measure of a 10 percent MET for elicitation
14 among presensitized individuals.

15 If we compare the 10 percent MET in
16 Nethercott to that from historical study which
17 was done by Scott and Proctor in '97, we find
18 that the Nethercott MET is about 10 times
19 lower. These are studies that are quite a bit
20 older, though. They are mostly done with
21 Finn-Chamber-type dosing devices.

1 We also did three rounds of testing
2 which was specifically performed to reduce the
3 occurrence of false positives. And then as I
4 believe has been said on multiple occasions
5 today, the TRUE Test patch is an effective
6 delivery device.

7 These are just some additional
8 details about the study.

9 About 20 percent of the people who
10 were a part of the study were in
11 construction-related industries. 15 percent
12 had past or present atopic dermatitis during
13 the course of the study. And the most
14 sensitive subject who is the basis of the
15 standard was a very hypersensitive individual.
16 He reacted to a lot of different allergens.

17 And in talking to him, he actually
18 started in the Fowler study and couldn't
19 actually finish because dermatitis from other
20 exposures in Round 2 precluded his
21 involvement. He told us he even got

335

1 dermatitis from hot showers. So he's a very
2 hypersensitive person among atopic
3 individuals.

4 In about 1995, NJDEP decided to
5 change their approach from a soil to skin
6 adherence to something that was protective of
7 puddles. This was done for a couple of
8 reasons. Perhaps this is only two of them.
9 One is that there were observations in many,
10 many locations of yellow puddles. Hexavalent
11 chromium is yellow in solution.

12 Also consistent with what was said
13 from OSWER today, there were questions of
14 bioavailability and how much hexavalent
15 chromium could be solubilized in soil.
16 Specifically in order to address this issue,
17 the puddle exposure scenario, the Fowler study
18 was conducted. And it is the basis of the
19 current New Jersey standards. We clean up
20 soils today based on this study. And I'll
21 tell you how.

1 In the Fowler study, the aim was to
2 estimate the potential for allergic contact
3 dermatitis from dermal contact from water
4 containing hexavalent chromium in an
5 environmental exposure scenario. Not
6 specifically to identify whether or not, if
7 these people sat in these exposures for long
8 enough, they would get a reaction. But we had
9 generated a scenario which we thought was very
10 conservative for what environmental exposures
11 could potentially be.

12 Twenty-six people participated in
13 the study. They all also participated in the
14 Nethercott study, including as I said before,
15 the most sensitive individual from the
16 Nethercott study. Concentrations hexavalent
17 chromium in water were 25 to 29 milligrams per
18 liter and the pH 9.4. Both the pH and the
19 concentrations were designed to kind of
20 simulate the upward of bound worse case
21 puddles that had been measured in New Jersey.

337

1 We did two rounds of testing. This
2 is an example of the test scenario. We had
3 these boxes. And the individuals who
4 participated put their forearms in boxes. On
5 the one side they had hexavalent chromium. On
6 the other side, they had the buffer solution
7 that was used to make the hexavalent chromium
8 solution. As you can tell, the water was
9 yellow. So anybody who knew that chromium was
10 yellow, wasn't blinded as to the exposure.

11 People reacted in both rounds. In
12 the first round, 16 of the 26 individuals
13 developed no response due to 30 minutes of
14 submersion exposures on three consecutive
15 days. Those who responded in Round 1, with
16 the exceptions of those who weren't available,
17 participated in Round 2. And it only ended up
18 being five individuals could participate in
19 Round 2.

20 In Round 2, we switched arms. So if
21 you exposed your right arm to chromium in

338

1 Round 1, you exposed your left arm to chromium
2 in Round 2. The reactions that were observed.
3 There was question as to whether or not they
4 were an irritant or an allergic reaction.
5 Biopsy samples were collected and analyzed by
6 a dermatological histopathologist. And they
7 were considered to be indicative of a
8 transient or weak either allergic or irritant
9 reaction. It was an acute eccrine reaction.
10 So basically in the sweat gland the reaction
11 was observed.

12 Here's a picture, although granted
13 not to good in this quality. This is about
14 the worst of the reactions. And you can see
15 there are little red dots all over the forearm
16 of this participant.

17 Basically what was concluded is that
18 the endpoint that we were trying to protect
19 was an eczematous reaction of like allergic
20 contact dermatitis. The observations that we
21 had in the Fowler study, was not of eczematous

339

1 dermatitis but rather of some transient
2 effect. And because the exposure scenario was
3 considered to be relatively extreme for
4 environmental exposures to standing water, it
5 was treated as a NOAEL for allergic contact
6 dermatitis.

7 However, I want to caution. If you
8 read the Fowler study in detail, we do
9 identify that it is possible that it was an
10 allergic reaction that was observed. And
11 maybe in some individuals, it was allergic in
12 some. It was an irritant. It is difficult to
13 know.

14 In New Jersey on a site-by-site
15 basis, we determine what the leachability of
16 hexavalent chromium in soils is. Just to give
17 you a little more background, there's about
18 210 cites in New Jersey where chromium has
19 been used as fill material or processing
20 residue. It has varying insolubility from
21 site to site. So we do a water shake test,

340

1 which is an ASTM test, at multiple dilutions.
2 And then we calculate the target concentration
3 at a liquid to solid ratio of 2 to 1,
4 simulating very little amount of liquid
5 associated with the solid. And then, you
6 know, as the liquid-to-solid ratio goes down,
7 the concentration of hexavalent chromium as
8 well goes down.

9 So the idea is to determine the
10 hexavalent chromium concentration that is
11 consistent with 25 ppm of hexavalent chromium
12 in solution. And that typically gives us
13 cleanup levels in the range of 200 to 700 ppm
14 of hexavalent chromium.

15 There is variability around that.
16 We have had levels as high as 20,000 because
17 the hexavalent chromium has been extremely
18 insoluble. And in levels lower than that, I
19 think the lowest is 99 at one of our cleanup
20 levels.

21 So that's how we determine what

341

1 needs to be cleaned up in New Jersey to some
2 degree that in addition to inhalation-based
3 standards and soil ingestion based standards.
4 In reality, the highest hexavalent chromium
5 we've ever measured in any of the puddles is
6 16 parts per million. And that was at a site
7 where the -- you know, we have concentrations
8 well over a thousand parts per million of
9 hexavalent chromium in soil. So this is a
10 relatively conservative approach. Perhaps the
11 conservatism comes from the liquid-to-solid
12 ratio in the shake extraction test.

13 Massachusetts in 1998 also set a
14 similar standard. It's an elicitation-based
15 standard. They used the Nethercott study.
16 They assumed 100 percent bioavailability of
17 hexavalent chromium. And they calculated a
18 soil standard of 170 mg/kg. The difference
19 between what Massachusetts calculated and what
20 was calculated in Nethercott, et al., for
21 application in New Jersey, those are both

342

1 soil-to-skin-adherence-type standards.

2 Massachusetts used a higher soil adherence
3 rate, loading rate, for soil on skin.

4 One thing that was asked of the
5 Panel was with regard to soil matrix effects
6 and what are the considerations for
7 bioavailability. In 1993 we did a study,
8 Horowitz and Finley, where we used real human
9 sweat to extract hexavalent chromium from our
10 soils in New Jersey. We did a 12-hour test.
11 The sweat-to-soil ratios were 5 to 1 and 20 to
12 1. And we tested concentrations of hexavalent
13 chromium between 6 and 1,240 parts per
14 million. Bioavailability was less than .1
15 percent.

16 If you do the same test with water
17 or simulated sweat that doesn't contain an
18 organic component, you can get much higher
19 extraction levels like 30 to 70 percent. So
20 what we believed was happening is that the
21 hexavalent chromium is reduced by the organic

343

1 components of the real sweat to the trivalent
2 state.

3 I want to transition here just a
4 little bit and talk about the human
5 sensitization or induction data. Kligman in
6 1966 did the human maximization test. The
7 actual calculation of the 5 percent response
8 level was not done by me. It was done by
9 another author. Schneider and Akkan 2004.
10 And I've converted this. This is different
11 than what Dr. Youngren presented because I
12 converted it to hexavalent chromium and she
13 presented in terms of potassium dichromate.

14 So the dose in the human
15 maximization test was 39 ug/cm². It's based
16 on this data that we assumed that our
17 elicitation-based standard would also be
18 protective of sensitization.

19 The diagnostic patch test -- I mean,
20 perhaps, I'm wrong. Back when we did the
21 Nethercott study, we believed it was 4.4

344

1 ug/cm2. Other information has been presented
2 here today to suggest 23 ug/cm2.

3 And I asked Dr. Fowler just recently
4 if he thought that the patch testing could
5 induce sensitization. And his statement was
6 that the risk of induction is believed to be
7 minimal at this exposure. And I just want to
8 remind that this is an occlude exposure which
9 is coursed for 48 hours.

10 So while I don't want to spark
11 another tremendous debate, I wanted to mention
12 the incidence of hexavalent chromium allergy
13 in the U.S. population. It's an important
14 risk management decision. And although
15 hexavalent chromium is a strong sensitizer, I
16 question whether the fraction of the general
17 population that is allergic to chromium is
18 very large.

19 There is no U.S. general population
20 data. Let me make that clear. We could
21 attempt to gain some knowledge about what that

345

1 number might be based on the clinical
2 incidence rate in the United States.

3 To get a little bit of additional
4 data for your information, I asked the North
5 American Contact Dermatitis Group physicians
6 to search their data base for the most current
7 data on positive reactions to hexavalent
8 chromium. And this is unpublished data which
9 I can't publish without their permission. So
10 you might want to ask them before you utilize
11 this information as well.

12 In that time period, that's to
13 current, from 2001 January to current, about
14 6,000 people were tested. The percent with
15 positive responses was 4.1 percent. However,
16 the percent that were determined to be
17 relevant was only 24 percent. That is people
18 with definite, probable, or past exposure to
19 hexavalent chromium. So there may be a
20 fracture of those who also are relevant, but
21 they don't really know exactly why it's a

346

1 positive reaction.

2 And it's also important to note that
3 this could be an underestimate of clinical
4 sensitization because the test which is used,
5 which is the Finn Chamber, using the .25
6 percent potassium dichromate, is lower. And
7 it's possible that there are people who are
8 allergic to chromium who just don't react to
9 that low level.

10 In 1998, we attempted to get a
11 handle on what fraction of the population,
12 general U.S. population, was allergic to
13 hexavalent chromium. And at that point, we
14 estimated about .08 percent. The clinical
15 prevalence rate of 2 percent was used at that
16 point. That was based on '92 to '96 North
17 American Contact Dermatitis data. 50 percent
18 of the positive reactions at that point were
19 determined to be not relevant by the
20 physicians.

21 And then we applied a

347

1 clinical-to-general ratio which is definitely
2 uncertain. But basically what we did is we
3 looked at data from two Italian studies, one
4 of a clinical population and one of a general
5 population conducted in 1984. And the
6 difference between the clinical population and
7 the general population as far as allergic
8 reactions to hexavalent chromium was about 12.
9 And I don't know if that ratio is applicable
10 in the United States. I don't know if that
11 ratio is applicable over time. But that is
12 the number we used to get a general handle.
13 And based on that, we calculated a rate of .08
14 percent.

15 Now in Hudson County, New Jersey,
16 which is where these 200 chromium sites are
17 and where they have been since the turn of the
18 century, basically uncovered, exposed, anybody
19 could come in contact with them. And this
20 millions of tons of impacted soil material.
21 From the minimum of the 1940s to the 1980,

348

1 this was uncovered.

2 So we looked for people who were
3 allergic to hexavalent chromium in this
4 general population, and we couldn't identify
5 any by calling dermatologists throughout the
6 area. Also from '92 to '93, the New Jersey
7 Department of Health attempted to -- well,
8 they did a biomonitoring study where they
9 collected urine samples. They also tried to
10 identify individuals who could be allergic to
11 hexavalent chromium. They surveyed 2,224
12 people. Twenty-three were identified for
13 evaluation by a dermatologist. And then I
14 think two were patch-tested. But none of them
15 were allergic to chromium. So if you say zero
16 out of 2,220, that would be a rate of less
17 than 0.04 percent.

18 And granted, not everyone in that
19 population was tested for hexavalent chromium.
20 But the objective was to find people who were
21 allergic.

1 The real challenge is extrapolating
2 these data to human health assessment. So I
3 think that we have a particularly large
4 challenge in this case. Risk assessors do
5 anyways because typically we work with
6 toxicology data that's designed to calculate
7 what a low observed effect level is. Whereas
8 with dermatitis, a lot of times we're working
9 with data that's designed to make sure that we
10 can identify people who are allergic in the
11 human population or identify sensitizers.

12 Importance factors in applying these
13 data to the evaluation of wood is the
14 consideration of wood to total occlusion in
15 patch testing. When people are exposed to
16 wood, they could get residue on their skin. I
17 clearly assume so. That was a picture of my
18 daughter hanging from the fort that is made
19 out of CCA-treated wood. So I know there is
20 going to be dermal contact.

21 But is the type of penetrating

350

1 dermal contact that you would get from total
2 occlusion from 48 hours? This is a factor to
3 consider. I think that that's probably kind
4 of conservative with regard to real world
5 environmental exposure.

6 I wanted to talk about uncertainty
7 factors. The intraspecies uncertainty
8 factors. So that's sensitivity within the
9 human population. For an induction-based
10 standard, I think the ten-fold factor is
11 warranted. That would be the typical default.
12 For an elicitation-based standard, I think
13 that a one-fold factor, I would suggest, is
14 relevant because what we're working with
15 already is a highly sensitive human
16 population. So we're kind of looking when
17 you're doing an elicitation standard, you're
18 look at the sensitive human subpopulation.
19 And that would be consistent with the approach
20 that's been applied in both New Jersey and
21 Massachusetts.

351

1 If you want to extrapolate from a 10
2 percent minimum effective dose to something
3 that you would make akin to a NOAEL, I would
4 suggest considering taking the lower
5 confidence limit. That would be something
6 similar to what EPA does with the benchmark
7 dose modeling approach. For Nethercott, et
8 al., the 95 percent lower confidence level is
9 0.052. I mean it's just a suggestion here to
10 consider.

11 Interspecies species. So you only
12 would use an interspecies uncertainty factor
13 when you're going from mouse to human in this
14 case. So it's only specific to the LLAN-based
15 proposal. I think that the 10-fold default
16 factor, which is typically used for
17 intraspecies, is used when there is really no
18 human data available and when humans are
19 considered to be more sensitive than the
20 species tested.

21 I don't believe that that's really

352

1 the case here. We have quite a bit of human
2 data. And the human data that does exist
3 suggests that the mouse EC3 value in the LLNA
4 is generally consistent with the human
5 maximization test for 5 percent response.

6 And I think that Felter, et al.,
7 2003, shows relatively well that that is that
8 is the case for many chemicals.

9 And for hexavalent chromium
10 specifically. This is the Schneider and Akkan
11 study, 2000, which I found very interesting.
12 I'm not going to pretend to know a lot about
13 the LLNA test. Conveniently, they had all
14 their numbers translated into ug/cm2. They
15 used six different studies, six different
16 studies than EPA used to calculate the dose
17 which caused an EC3 level effect.

18 In addition to the comparison here
19 of human and mouse data, I'd like to question
20 whether or not it's appropriate to look at
21 only one study or whether it's appropriate to

353

1 look at all of the studies that have done LLNA
2 and take a composite of all of the literature
3 if that's going to be the basis for the
4 standard rather than focusing on just one
5 study.

6 So if you compare the LLNA to the
7 human maximization test, you can see that in
8 terms of potassium dichromate, the doses are
9 very similar which cause effects. If you
10 convert those to hexavalent chromium, because
11 potassium dichromate is only about 35 percent
12 by weight hexavalent chromium, you get numbers
13 of 41 and 39 ug/cm². So I think that there's
14 really good correlation between species for
15 hexavalent chromium, and that you ought to
16 consider an interspecies uncertainty factor of
17 1.

18 Matrix factor. And you know Susan
19 put up the suggestion of a factor less than 1.
20 And that was consistent with what I had
21 considered as well. And the following reason,

354

1 when you're considering the LLAN-based
2 standard, using the Kimber '95 used DMSO as a
3 vehicle. And let's face it. DMSO is
4 extremely effective at moving chemicals
5 through the skin.

6 I don't think that the matrix of
7 hexavalent chromium that could occur on wood
8 would likely be anywhere near as effective.
9 Similarly, if you look at a patch-test-based
10 matrix effect factor, the patch test is
11 designed for hexavalent chromium to be
12 absorbed through the skin. The T.R.U.E Test
13 patch or petrolatum both, I think, are going
14 to be effective more likely than not than a
15 residue on wood. And then the 10 percent
16 METs, I'd like to point out, are typically
17 higher in acids than in alkaline matrices.

18 This is specifically the data I'm
19 talking about. And granted these are older
20 data. I do a lot of inhalation toxicology
21 work where it's extremely evident that

355

1 hexavalent chromium is not one chemical. And
2 that the various forms and pHs of hexavalent
3 chromium can exist is really very important
4 factor in toxicology.

5 And if you look real briefly as
6 these elicitation standards, these 10 percent
7 METs, for alkaline conditions, a 10 percent
8 MET is about .57 to .63 ug/cm². But in the
9 data where hexavalent chromium is in acid, two
10 out of three of the METs are 10 or higher.
11 And then in neutral pH, it's kind of in the
12 middle, 1.63. And in petrolatum, it's the
13 same. That was something that never jumped
14 out to me in those data before, but I think
15 it's something that might be important to
16 consider.

17 We see a lot of cement dermatitis.
18 Cement is extremely alkaline. It's possible
19 that in alkaline conditions, hexavalent
20 chromium is a more potent sensitizer or
21 elicitor of ACD.

1 There's an uncertainty factor for
2 exposure conditions. And a lot of these
3 uncertainty factors, I want to point out, were
4 initially proposed for skin care products.
5 Things that you put -- deodorant you put on
6 your under arm or lotion you put on every day.
7 So it's something that is not necessarily
8 directly applicable to wood which could
9 probably, you know, get contacting with your
10 hands or your legs or your feet. But it's not
11 necessarily more sensitive or susceptible to
12 skin.

13 I do believe the skin condition is a
14 very relevant concern. When we did the Fowler
15 study, one individual had a bad scratch on his
16 arm which really wasn't apparent until we
17 dumped his arm in hexavalent chromium. And
18 then his scratches were lit up like you can't
19 believe. So I think that having the skin
20 intact is a very important consideration.

21 And then multiple exposure are a

357

1 concern. But when I look at Dr. Cooper's
2 presentation, I noted that when he's talking
3 about full fixation even for ACC-treated wood,
4 and that was kind of new data, we're talking
5 hours. So unless you're getting a new deck
6 every other day or new play equipment, from
7 your everyday exposure conditions, are you
8 going to get hexavalent chromium over and
9 over. Now if you use cleaning agents and
10 reoxidize trivalent to hexavalent chromium,
11 that could be a concern.

12 And then were there a couple of
13 suggested uncertainty factors, and maybe these
14 have changed -- Jonathan, I would apologize if
15 I got this wrong -- for the specific case
16 study of hexavalent chromium 1 was for a small
17 study population 54 for the Nethercott study.
18 And I just wanted to note that these were the
19 54 most sensitive people we could find in the
20 United States in 1991. And we searched.

21 There was also a three-fold

358

1 uncertainty for using the LOAEL instead of a
2 NOAEL. I would propose using -- if you want
3 to use that factor. We really don't really
4 consider it in our New Jersey evaluations.
5 But if you want to use it, I would suggest
6 using something like the 95 percent lower
7 confidence limit on the 10 percent MET which
8 is very consistent with the EPA's benchmark
9 approach for setting reference doses.

10 You can skip this one. I'm going
11 kind of long.

12 In conclusion for an induction-based
13 reference dose, I kind of agree with other
14 presenters that it really shouldn't exceed
15 what the standardized patch test. And I
16 thought when I made this presentation that was
17 4.4 ug/cm2. Perhaps it's much higher. I
18 think that the dermatologists who are
19 patch-testing people have the real world
20 experience. And, you know, they aren't
21 uncomfortable with this level of exposure,

359

1 don't believe that it is causing
2 sensitization.

3 It's also kind of consistent with
4 the human maximization test data of 39 ug/cm2.
5 If you divide by 10 for intraspecies
6 uncertainty, you would end up with a reference
7 dose of around 4. Similarly, I use the LLNA
8 data of the summary data that was reported
9 Schneider and Akkan with a EC3 value of 40
10 ug/cm2 dividing by 10-fold uncertainty factor
11 for interspecies and arrived at an
12 induction-based RFD of around 4 ug/cm2.

13 So I kind of see some consistency
14 there. I don't know if it necessarily means
15 it's right.

16 And then finally for an
17 elicitation-based reference dose, I would
18 recommend the 10 percent MET from the
19 Nethercott 1994 study. Or if you wanted to
20 use a more conservative measure to account for
21 the fact that there was some reaction at that

360

1 level, the lower confidence limit on that
2 number.

3 Anymore questions or comments?

4 DR. HEERINGA: Thank you very much,
5 Ms. Proctor.

6 DR. MENNE: I think it's such a pity
7 because it's such a fine study. But how can
8 you conclude as you do and how can Fowler do
9 it. If you read the text on the first part of
10 the study, you have it here on the slides,
11 your own slide. And you actually are not
12 mentioning so much about it. You have the
13 Fowler results, 1991, Round 1, 16 of the 26
14 without any reaction. And that's all what
15 you're telling us.

16 But what is Fowler telling us?
17 Let's see here. I'm quoting, "For the
18 remaining 10 participants, the morphology of
19 the responses observed in Round 1 ranged from
20 mild to severe, occasionally to extensive
21 reticulation, occasional to many papules, mild

361

1 to moderate erythema, and mild scaling. And
2 that's after two to three days with an
3 exposure of 25 ppm. The patch test
4 concentration that is not irritating used in
5 the U.S. 1,770 ppm."

6 So this is a nonirritating
7 concentration and it is quite severe
8 reactions. It was nearly half of the 26 after
9 two days.

10 You know, if you had continued just
11 a few more days, you would have severe
12 reaction on those arms. These figures are far
13 below the threshold. And I don't understand
14 how they can conclude how they do it. I have
15 discussed this with many of my colleagues in
16 Europe, and they were shocked when they read
17 it.

18 MS. PROCTOR: Understandably so.
19 And I'm not a dermatologist, and I'm not going
20 to discuss it.

21 I think that the one important

362

1 consideration here is that what we were trying
2 to do was simulate puddle exposure scenarios
3 and how a person would be exposed to the kind
4 of the puddles we have in New Jersey. We
5 generally concluded was what we had done the
6 more severe exposure than what would be
7 expected with kind of a unlimited reservoir
8 for hexavalent chromium exposure. And really
9 the very specific aim of this study was to
10 determine something that could be used to
11 evaluate cleanup in New Jersey.

12 Any more questions?

13 DR. HEERINGA: Any more questions
14 from the Panel for Ms. Proctor?

15 MS. PROCTOR: Thank you.

16 DR. HEERINGA: Excuse me. One more.

17 DR. FOULDS: On the pH and the
18 elicitation 10 percent MET tables you were
19 interested in the acidic levels which sort of
20 raised up the concentration for the 10 percent
21 METs right up to sort of 12.5 from .57. Just

363

1 on that table, you've quoted is it IPDC and
2 IPC. I'm not quite sure what they stand for.
3 One of them goes up to 10.4 and one of them is
4 down at .72. In other words, it's not raised
5 up the 10 percent.

6 MS. PROCTOR: I'm sorry. That's not
7 a very clear table. Basically, in the Zelder
8 and Rockter 1966 study of acid conditions with
9 PDC, which was my abbreviation for potassium
10 dichromate, they had a 10 percent MET could be
11 calculated from those data of 12.5. And in
12 the Zelder 1964 study with potassium
13 dichromate in acid conditions, the 10 percent
14 MET could be calculated at 10.4 ug/cm2.

15 But in the Zelder and Wackter 1966
16 study with potassium chromate, not potassium
17 dichromate, the elicitation threshold was much
18 lower. It was .72.

19 Granted that this isn't a crystal
20 clear picture. But I found trend to be
21 interesting and it kind of stood out to me and

364

1 something to consider when evaluating
2 environmental exposure.

3 And, unfortunately, I can't tell you
4 the pH of the patches in the Nethercott study.
5 To the best of my recollection, we tried to
6 make the patches neutral pH. I even went back
7 to the original work and could not find
8 determination of the pH.

9 DR. PLEUS: On the Fowler study, you
10 have the concentration that the arms were
11 bathed in. What's the rationale for that
12 concentration?

13 MS. PROCTOR: Well, we collected
14 about 90 puddle samples in New Jersey. And
15 the hexavalent chromium in our puddles is
16 visible at about 1 ppm. So the highest
17 concentration that we measured was 16.4 ppm.
18 So we selected that 25 was the goal, but there
19 were some variability in our actual measured
20 concentrations. And we took a sample every
21 day and analyzed it. So there was actually a

365

1 range of exposure, 25 to 29. And I guess it
2 was kind of selected to some degree at random.
3 But the idea was to pick something that would
4 kind of be a worse case puddle exposure.

5 DR. PLEUS: One question that I want
6 to make sure I heard you say it correctly.
7 And that was, for the participants in that
8 study, they had one arm that was immersed in
9 the chromium solution.

10 MS. PROCTOR: Yes.

11 DR. PLEUS: And was the other arm
12 immersed in as a control.

13 MS. PROCTOR: It was immersed in
14 sodium bicarbonate buffer solution. Also at
15 pH 9.4.

16 DR. PLEUS: Okay. Thanks.

17 DR. HEERINGA: Thank you very much,
18 Ms. Proctor. I appreciate the presentation.

19 MS. PROCTOR: I just want to mention
20 a couple other things. As I was sitting
21 listening to the Panel discussions, I noticed

366

1 there was question about ACD from
2 wood-treating exposures. And I think from
3 historical data that is described in the 1975
4 NIASH criteria document which is available, I
5 know, on OSHA's web site, you might want to
6 take a look at that. Obviously, it's dated in
7 1975. So that's older data. And I do think
8 they knew about ACD from hexavalent- chromium-
9 treated wood in the processing of the wood
10 itself, the workers treating the wood.

11 And then something that I didn't
12 present here. But I did take the mass per
13 area concentrations of total chromium from
14 CCA-treated wood that had been wiped. And
15 using EPA's SHEDS model and compared that to
16 the Nethercott 10 percent MET, and the levels
17 for cold weather and warm weather and mean and
18 75th percentile, were virtually all below the
19 Nethercott 10 percent MET. I believe under
20 cold conditions at the 75th percentile, it was
21 just about equal or slightly exceeded the 10

367

1 percent MET. Thank you.

2 DR. HEERINGA: Thank you. We're at
3 5 minutes of 5. And I think the agenda had us
4 going to 4:30 today. It's my preference at
5 this point to conclude the proceedings for
6 today and resume tomorrow morning at 8:30.
7 And we would continue with the public comment.

8 We have four additional public
9 commenters who have arranged to speak.
10 Several of them have substantial
11 presentations. So rather than rushing them
12 through at a point where we're all relatively
13 tiring, I would say, not tired. I don't want
14 to say we're ineffective in our role at this
15 point. But it is the end of the day.

16 And so I'd like to ask Paul Lewis if
17 he has any concluding comments as the
18 Designated Federal Official.

19 MR. LEWIS: Just a few remarks. I
20 want to thank Dr. Heeringa for managing our
21 meeting today and moving the Panel along and

368

1 all the commenters along with the
2 presentations. I want to thank the public for
3 becoming actively engaged in our meeting.

4 Just a few remarks. We'll begin,
5 again, with continuing our public comment
6 tomorrow.

7 I did receive this afternoon a
8 written comment from the Healthy Building
9 Network and Beyond Pesticides. They're not
10 available to make an oral comment. So I'll be
11 making this available to the Panel and also
12 will be entering it into the record in our
13 docket office.

14 I also appreciate if the Panel can
15 meet with us immediately after this meeting in
16 our break room just to go over some
17 administrative procedures and prepare for our
18 discussion tomorrow.

19 Thank you, Dr. Heeringa.

20 DR. HEERINGA: Thank you, Paul.

21 And with that, I call this session

369

1 to a close for today and look forward to

2 seeing everyone tomorrow morning at 8:30.

3 [The meeting was adjourned at 5:04 p.m.]

370

1 CERTIFICATE OF STENOTYPE REPORTER

2 I, Jane F. Hoffman Stenotype Reporter,

3 do hereby certify that the foregoing

4 proceedings were reported by me in stenotypy,

5 transcribed under my direction and are a

6 verbatim record of the proceedings had.

7

8

9

10 JANE F. HOFFMAN