

US EPA ARCHIVE DOCUMENT

- - - - -X
: U.S. ENVIRONMENTAL :
: PROTECTION AGENCY :
: :
- - - - -X

FIFRA SCIENTIFIC ADVISORY PANEL (SAP)
OPEN MEETING

CONSULTATION ON DERMAL SENSITIZATION
ISSUES FOR EXPOSURES TO PESTICIDES

May 5, 2004

[8:35 a.m.]

Holiday Inn Rosslyn at Key Bridge
1900 North Fort Myer Drive
Arlington, Virginia 22209

1

PARTICIPANTS

2

FIFRA SAP Session Chair

3

Steven Heeringa, Ph.D.

4

Designated Federal Official

5

Mr. Paul Lewis

6

FIFRA Scientific Advisory Panel Members

7

Stuart Handwerger, M.D.

8

Gary Isom, Ph.D.

9

Mary Anna Thrall, D.V.M.

10

FQPA Science Review Board Members

11

Paul Bailey, Ph.D.

12

Gary Burleson, Ph.D.

13

Ih Chu, Ph.D.

14

Iain Foulds, F.R.C.P.

15

A. Wallace Hayes, Ph.D., DABT, FATS, FIBiol.,

16

FACFE, ERT

17

Abigail Jacobs, Ph.D.

18

Jean Meade, D.V.M., Ph.D.

19

Torkil Menne, M.D.

20

Nancy Monteiro-Riviere, Ph.D., DABFE, DABFM

21

Richard Pleus, Ph.D.

3

1 Paul Siegel, Ph.D., MSPH

4

1 EPA OFFICIALS

2 Joseph J. Merenda, Jr. (OSCP)

3 Jim Jones (OPP)

4 Timothy McMahon, Ph.D. (OPP)

5 Jonathan Chen, Ph.D. (OPP)

1

P R O C E E D I N G S

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

DR. HEERINGA: Good morning and welcome to the second day of our session of the FIFRA Scientific Advisory Panel on the topic of a Consultation on Dermal Sensitization Issues for Exposures to Pesticides.

I'm Steve Heeringa. I'll be chairing this meeting again today. I'm a biostatistician and a senior research scientist at the Institute for Social Research at the University of Michigan. My specialization is not in toxicology or dermal sensitization but in design and analysis of population-based studies.

I'd like to have the other members of the Panel introduce themselves again this morning. And I'll begin to my left with Dr. Handwerger.

DR. HANDWERGER: I'm Stewart Handwerger. I'm a molecular and developmental

6

1 endocrinologist in the departments of
2 pediatric and cell biology at the University
3 of Cincinnati.

4 DR. THRALL: Good morning. I'm Mary
5 Anna Thrall. I'm a veterinary pathologist at
6 Colorado State University.

7 DR. ISOM: I'm Gary Isom, a
8 toxicologist for Purdue University with the
9 area of interest in neurotoxicology and
10 specifically molecular mechanisms of
11 neurodegeneration.

12 DR. PLEUS: Good morning. I'm Rick
13 Pleus. I'm director of Intertox. I'm a
14 toxicologist and pharmacologist from Seattle,
15 Washington.

16 DR. HAYES: I'm Wally Hayes, a
17 toxicologist at Harvard School of Public
18 Health.

19 DR. MENNE: I'm Torkil Menne. I'm
20 from Copenhagen. I'm a dermatologist. My
21 main interest is allergic contact dermatitis.

7

1 DR. FOULDS: I'm Iain Foulds, a
2 dermatologist in Birmingham in the United
3 Kingdom. I run a contact dermatitis clinic
4 there.

5 DR. MONTEIRO-RIVIERE: I'm Nancy
6 Monteiro-Riviere from North Carolina State
7 University. I'm a Professor of Investigative
8 Dermatology and Toxicology. My area is dermal
9 absorption of metal toxicity.

10 DR. SIEGEL: My name is Paul Siegel.
11 I'm from the National Institute for
12 Occupational Safety and Health. I'm a team
13 leader for bioorganic chemistry. And my main
14 area of research is hypersensitivity diseases.

15 DR. CHU: Good morning. I am Ih Chu
16 from Health Canada. I'm a toxicologist. My
17 research interest is in systemic toxicology
18 and pharmacology. I'm currently a section
19 head of systemic toxicology and
20 pharmacokinetics.

21 DR. BURLESON: Good morning. My

8

1 name is Gary Burleson, immunotoxicologist from
2 Raleigh, North Carolina. I am president of
3 BRT, Burleson Research Technology. It's a
4 contract research laboratory.

5 DR. JACOBS: I'm Abbey Jacobs. I'm
6 a pharmacologist/toxicologist with the Center
7 for Drug Evaluation and Research, FDA.

8 DR. BAILEY: I'm Paul Bailey.
9 ExxonMobile. I'm a toxicologist. Research
10 interests are in the area of
11 dermatotoxicology.

12 DR. MEADE: Good morning. I'm Jean
13 Meade. I'm from the National Institute for
14 Occupational Safety and Health. And I'm the
15 team leader for the agriculture and
16 immunotoxicology group.

17 DR. HEERINGA: Thank you very much,
18 Panel.

19 At this point, I'd like to outline
20 the schedule for today. Many of you have the
21 agenda in front of you and will be following

9

1 that particular order.

2 I wanted to mention a few minor
3 changes. We're going to continue with public
4 discussions this morning to wrap that up and
5 give the public a chance to provide their
6 comments and information.

7 At the conclusion of the public
8 comment period, we'll have a period of general
9 question and discussion. It will give a
10 chance for the EPA to follow up. We'll have
11 an initial little follow-up today. But then,
12 also, there will be this question and answer
13 period at the end of the public comment
14 period.

15 At that point in time, I think we'll
16 also have a little more of an extended
17 discussion with the EPA staff on the issue of
18 uncertainty factors. That was requested by
19 the Panel.

20 Following that, we would like to
21 take a early lunch. And that has been

10

1 requested by Panel members. So I expect that
2 our lunch break will begin sometime around
3 11:30. We'll take an hour for lunch and then
4 return to address the specific charge
5 questions that have been put before the Panel.
6 That's the anticipated schedule for today.

7 We've scheduled these meetings for
8 three days. Whether we complete today or not,
9 will depend on the progress of the discussion
10 this afternoon. I see no hurry if we need the
11 time to completely flesh out these issues and
12 provide our response to the EPA questions. I
13 will anticipate we will end today. And if
14 need be, I may go to 5 or 5:30 if that would
15 provide adequate closure on the proceedings.

16 At this point in time, I would like
17 our Designated Federal Official, Mr. Paul
18 Lewis, if he has any administrative comments
19 to add

20 MR. LEWIS: Thank you, Dr. Heeringa,
21 and thank you Panel members and members of the

11

1 public for coming to our second day of the
2 FIFRA Scientific Advisory Panel.

3 I just want to remind everyone that
4 this meeting of the FIFRA Scientific Advisory
5 Panel operates under the guidance of the
6 Federal Advisory Committee Act. So this is an
7 open meeting. All materials of this meeting
8 are available in our docket. In addition, our
9 meeting minutes will be published
10 approximately six to eight weeks after this
11 meeting. It will be available both in the
12 public docket and available on our the web
13 site.

14 Thank you.

15 DR. HEERINGA: Thank you, Paul.

16 At this point in time, Mr. Jim
17 Jones, the Director of the Office of Pesticide
18 Programs, is here again today. Welcome back.
19 Would you like to make a few additional
20 remarks at this point?

21 MR. JONES: Thank you, Dr. Heeringa.

12

1 I would just like to remark that I
2 thought we had a very good opening
3 presentation yesterday from both the Agency
4 and public commenters. I want to thank them
5 all for their hard work there. I thought that
6 the Panel was very engaged. And I look
7 forward to this afternoon in particular where
8 we're going to get into a bit more of your
9 reaction to the questions that we have asked
10 the Panel.

11 And I think we have the right people
12 here, both from the risk management and the
13 risk assessment side of house of EPA to help
14 to frame the issues to the extent that the
15 Panel feels necessary to give us the
16 appropriate feedback.

17 Thanks very much.

18 DR. HEERINGA: Thank you very much,
19 Mr. Jones.

20 At this point in time before we turn
21 to the public comments, the agenda does

13

1 include an item for Dr. McMahon or Dr. Chen,
2 if you have issues from yesterday for
3 clarification.

4 DR. MCMAHON: Thank you, Dr.
5 Heeringa.

6 I just have one issue that I wanted
7 to clarify regarding the March 2004 USEPA
8 Publication on Examination of Risk Assessment
9 Principles and Practices.

10 I just wanted to clarify that this
11 document states quite clearly up front that
12 this does not establish new Agency policy or
13 guidance or amend any existing Agency policy
14 or guidance.

15 DR. HEERINGA: Thank you very much
16 for that clarification.

17 At this point in time, I would like
18 to return to the sequence of public comments
19 that we've had that we began yesterday
20 morning, early afternoon. And at this point,
21 I'd like to ask Dr. Joel Barnhart, who is

14

1 representing Elementis Chromium, if he would
2 be willing to come forward and make his
3 presentation. Dr. Barnhart.

4 While we take a moment here to set
5 up Dr. Barnhart's presentation, maybe I can
6 mention: If any of the other public
7 commenters have presentations that they either
8 have on a CD or DVD or a diskette, if they
9 would like to bring that up. Or if you're
10 going to bring your own laptop, I guess we'll
11 make the transition when you're ready to
12 speak.

13 I'll take this opportunity to --
14 we've tried to keep you abreast of items that
15 have been added to the docket for these
16 procedures. Dr. Menne yesterday afternoon
17 distributed to the Panel a series of papers
18 that he is a co-author on, recent work that
19 they've done in your laboratories and clinics
20 and Copenhagen. And those will be added to
21 the docket as well.

1 We've also been joined this morning
2 by Mr. Joseph Merenda who is the Director of
3 the Office of Science Coordination and Policy
4 at EPA. Good morning, Joe. I don't know if
5 you wanted to say anything to the group at
6 this point.

7 DR. MERENDA: Only just to express
8 my appreciation for the very thorough
9 discussion yesterday and look forward to
10 further discussion today. I think the Panel
11 is really digging into the issue.

12 DR. BARNHART: Good morning,
13 everyone. I'm sorry for the delay. If I had
14 known I was the first one to speak this
15 morning, I would have spent a little more time
16 getting ready, I think.

17 I'm speaking here as a technical
18 person and not as toxicologist necessarily or
19 as a physician. Let me get started here.

20 As I've said, I appreciate the
21 opportunity to make this presentation. There

16

1 were a couple questions yesterday about the
2 experience that people in industry have had in
3 dealing with these chemicals. That's one of
4 the things I'm going to be speaking to.

5 Certainly, if there's any experience
6 that other members of the Panel or the
7 audience are aware of that I don't cover or
8 don't seem to have right, I would be more than
9 happy to hear about it either here during the
10 panel meeting or afterwards.

11 My background, and I have it listed
12 on the slides. I'll continue on. I have
13 listed my background there. Not all the
14 speakers have done that. Most of them you
15 know well. I'm sure you don't know me. I
16 have, throughout my working career, been
17 concerned with issues involving safety and
18 health, my own health in particular.

19 Since I've worked in a variety of
20 areas that offer a degree of hazard throughout
21 my working career, I have been interested in

17

1 these issues. For the past 20 years, a little
2 over 20 years, I've been working in the
3 chromium chemicals production industry, and
4 that's what I'm going to talk about in
5 particular here.

6 I thought I'd start out -- Jonathan
7 Chen did a good job yesterday of talking about
8 chromium and some of the aspects of chromium.
9 But that's really what my specialty is, in
10 chromium and chromium chemistry.

11 Chromium, as you some of you may
12 know, the word is derived from the Greek work
13 chromo meaning color; and the different forms
14 of chromium cover most of the colors of the
15 rainbow.

16 It's the 17th more or less most
17 abundant element, depending on whether you're
18 talking about the crust of the earth or the
19 surface soils or whatever. And as an element,
20 it's as a picture; it's a hard silvery metal.

21 As Jonathan mentioned, the three

18

1 principal oxidation states are metallic, or
2 zero oxidation state, the trivalent, and the
3 hexavalent. And the hexavalent is the one
4 that we're most concerned with. The trivalent
5 is the form that is ubiquitous in nature.
6 Nearly all the chromium existing in nature
7 exists as the +3 oxidation state. There have
8 been just very rare reports of either chromium
9 metal or the hexavalent state in nature.

10 The trivalent state is the state
11 that the ore is in that we receive when we
12 convert it to other forms. The trivalent
13 state is the state that's in nutritional
14 supplements and various other multivitamins
15 and is the essential nutrient that was
16 mentioned yesterday.

17 We make chromium chemicals. And the
18 major uses of chromium chemicals into the
19 markets we sell are in the preservation of
20 other materials, in natural materials in
21 particular, such as leather, wood, iron. So

19

1 that's why it's found usually in fairly small
2 amounts in a wide variety of materials because
3 it acts more or less as a preservative.

4 If we look at the chromium industry
5 in general, about 85 percent of the chromium
6 mined as ore is used in the manufacture of
7 stainless steel and specialty steels.
8 Approximately 7 percent is used in
9 refractories and in foundry grades. And
10 that's a trivalent use.

11 About 8 percent goes into chromium
12 chemicals. A little under 1 percent of the
13 total chromium ends up being in wood-treatment
14 chemicals.

15 Elementis Chromium, who I work for,
16 is the largest manufacturer of hexavalent
17 chemicals in the world. We're about twice as
18 large as the second largest. We have more
19 than 70 years experience in handling
20 hexavalent chromium chemicals. And in the
21 extraction of chromium from the ore in the

20

1 chemicals, it all goes through the hexavalent
2 state. That's the way we separate it from the
3 ore.

4 We fundamentally believe that a
5 major factor in the fact that Elementis is now
6 the largest chromium chemical producer, where
7 20 years ago it was third or fourth or maybe
8 fifth on the list, is that we have been very
9 conscious of health and environmental effects
10 of hexavalent chromium and have taken that
11 into account in the operation of our plants
12 and in our interactions with customers and
13 uses for these chemicals.

14 This is a photograph of one of our
15 largest plants, and it's in Northern England.
16 It's been in operation since 1927 producing
17 chromium chemicals.

18 The second largest plant we have,
19 and the second largest plant in the world, is
20 a plant in Castle Hayne, North Carolina, just
21 outside of Wilmington. They've been

21

1 manufacturing chromium chemicals since 1971.

2 In Corpus Christi, where I work, we
3 have made chromium chemicals there since 1961.
4 We have the largest chromate production kiln.
5 And that's what we use to convert the ore to a
6 hexavalent form. But due to market
7 conditions, it's shut down at the moment. But
8 it's still in place.

9 Typically, in our facilities, dermal
10 exposures occur from very dilute solutions to
11 up to our most concentrated solutions which
12 are about 70 percent sodium chromium dihydrate
13 or about 20 percent hexavalent chromium. So
14 some of the solutions are very concentrated.
15 The typical solutions that people come in
16 contact with in our plants is between a pH of
17 4 and 10.

18 Our employees are closely monitored,
19 especially for skin effects, because
20 hexavalent chromium is an irritant for sure.
21 And we've had discussions for the last day,

22

1 and we'll have more, on its other dermal
2 effects.

3 In the plants over the last 20
4 years, usually there's been at least a monthly
5 check of the employees especially their noses,
6 hands, external skin, by a health care
7 professional. Usually in the Corpus Christi
8 plant, but usually in all three of the plants,
9 it's by an occupational physician. Sometimes
10 it's by an occupational nurse.

11 The most sensitive part of the body
12 in our experience to hexavalent chromium, at
13 least when it's present in a dust form, is
14 nasal irritation. So that's one of the things
15 that we monitor for on a frequent basis. We
16 haven't had any significant nasal sores or
17 certainly no perforations in a number of
18 years. But that's something that we want to
19 make sure we do prevent.

20 Up until about 15 years ago,
21 chromium sores and other irritation-type

23

1 effects were common in the workers working in
2 this industry. In some of the plants, because
3 of the types of gloves available and the
4 permeability of the gloves, people actually
5 choose not to wear gloves in working with
6 these chemicals because the gloves would get
7 either saturated with sodium chromate or
8 sodium dichromate or it would get inside the
9 gloves and they would be then in contact,
10 their skin would then be in contact with
11 solutions, some of them very concentrated, for
12 long periods of time. And we felt that that
13 was significant with respect to the formation
14 of chrom sores.

15 As gloves improved, as they were
16 made more flexible so people could use them in
17 doing their tasks, as we automated tasks where
18 people didn't have to do so many manual
19 things, as we put a lot more emphasis on
20 people's hygiene practices and preventing
21 these things, the last 15 years or so we

24

1 haven't seen any of these.

2 During the whole period that I've
3 been in the industry at the three sites that
4 are now Elementis Chromium sites, I haven't
5 heard of any diagnosis for allergic contact
6 dermatitis from the people that were working
7 in these facilities. Now, no doubt, it could
8 have occurred in earlier years where the
9 contacts were even higher. But that's been
10 our experience in our production facilities.

11 We also don't have any evidence of
12 Cr(VI) causing sensitization in our employees
13 or in contractors that we have come in and do
14 jobs, removing refractories, removing
15 equipment, and so forth, where they have
16 opportunities for exposure to the hexavalent
17 chromium compounds.

18 Certainly that's true for our three
19 facilities. For facilities throughout the
20 rest of the world, I can't say. And I would
21 certainly be interested in hearing any

25

1 information that anyone else has on other
2 chromium chemical facilities.

3 Also in my experience, I have an
4 opportunity to talk to customers about their
5 use of hexavalent chromium chemicals. I
6 represent the company on several different
7 health safety and environment committees and
8 groups concerned about health effects of
9 chromium chemicals.

10 The Chrome Coalition is a U.S.-based
11 group that has about 15 companies and trade
12 associations in it. The International
13 Chromium Development Association is based in
14 Paris, France; and it has 70 to 75 members in
15 it, international members.

16 I have a lot of opportunities to
17 talk to people. Some of them more open than
18 others. But I'd like to pass along that
19 experience that I've had anyhow. And, again,
20 others that have contact with people in other
21 areas may have other experiences.

1 Metal finishing is another one.
2 Chrome plating is the biggest metal finishing
3 operation involving people. OSHA estimates
4 that there are over 200,000 workers in that
5 industry that are exposed annually to
6 hexavalent chromium. In talking to the people
7 in that industry -- and in the last few weeks
8 I've called around and talked to people again
9 to make sure there was nothing I missed --
10 they say that they were concerned about
11 hexavalent chrome irritant effects. They were
12 concerned about nickel allergic dermatitis.

13 But hexavalent chromium allergic
14 dermatitis has not been a major concern in
15 recent years. As was reported yesterday, you
16 can get reports from 30, 40 years ago that
17 there was at least some problem there.

18 Wood treating, which is probably
19 most appropriate for what we're considering
20 here, hexavalent chromium irritant effects are
21 concern there because they do deal with

27

1 chromic acid. It is the main hexavalent
2 chromium compound that is used as a raw
3 material.

4 The treating solutions, actually the
5 chromic acid, is converted to a higher pH
6 solution and it is more of an -- form. But I
7 could find no reports and in talking to people
8 in the industry could -- I didn't have any
9 reports of diagnosed, at least, hexavalent
10 chromium allergic dermatitis.

11 And in looking at the Bureau of
12 Labor and Statistics data, they don't show any
13 occupational-related allergic contact
14 dermatitis from wood-treatment plants for that
15 period of '93 to 2002.

16 We do talk to other customers. They
17 are concerned about Cr(VI) irritation effects;
18 Cr(VI) exposure in the air. We have developed
19 low dusting grades of chromium acid to reduce
20 the amount of dust in the air and exposures
21 from that. But they don't mention allergic

28

1 contact dermatitis.

2 Another piece of information, OSHA
3 is currently working, developing a workplace
4 exposure rule for hexavalent chrome mainly
5 concerned with inhalation but also about other
6 exposures. In that, while they do mention the
7 possibility of dermatitis in a variety of
8 exposures, the one they concentrate on and
9 that is the cement industry.

10 On the next slide is what NIOSH has
11 said about the cement industry. And this is
12 wet cement exposure in particular. And I
13 think the wet is important. And in our own
14 chemical plants, minimizing people's exposure
15 to wet hexavalent chrome solutions for
16 extended periods of time is one of the things
17 that we do. And we believe that that's
18 important.

19 The NIOSH statement here is, "In the
20 United States, all cement contains chromium.
21 Allergic sensitivity to dichromate is often

29

1 associated with cement dermatitis. In such
2 cases, the primary irritant action is of
3 alkali plus the abrasive and hygroscopic
4 properties of cement precede and favor
5 sensitization by chromium salts."

6 To me, they're expressing a sequence
7 of events there. And pH in these cements is
8 typically 12 to 12 and a half which is very
9 alkaline.

10 To kind of summarize industrial
11 experience from at least our experience and
12 the experience of the people I have direct
13 contact with and our customers, we have
14 numerous exposures to dermal exposure to
15 hexavalent chromium and don't see
16 sensitization or allergic contact dermatitis
17 as a major problem.

18 I, myself, when I'm not here in
19 Washington, D.C., spend a lot of time in the
20 plant and commission much of the equipment
21 that we use in out plants. And I have

30

1 frequent dermal exposure to hexavalent
2 chromium. So I'm concerned about it. But I'm
3 just one person. But looking at our entire
4 work force, they've had similar experience.

5 In relevance to the proceedings
6 here, the EPA charged the SAP is to advise on
7 strengths and weaknesses of proposed
8 quantitative approach. And I would like to
9 say -- and it was said yesterday and probably
10 better than I can say -- that normal
11 quantitative risk assessment methodology that
12 is designed to assess risk of cancer, birth
13 defects, and mutagenic affects, this has
14 certainly justified highly conservative
15 assumptions. Those are serious. Allergic
16 contact dermatitis is serious, but it's
17 fundamentally different from those effects
18 certainly in my view.

19 Residual levels the hexavalent
20 chrome on the surface of ACC-treated wood are
21 anticipated to be much lower than exposure

31

1 levels in patch tests. Now, there was
2 discussion yesterday on how relevant that is.
3 I learned a lot yesterday. I might have said
4 some of the things in my presentation
5 differently if I had had all the instruction I
6 had yesterday before I prepared it. But to
7 me, this was meaningful. I understood that
8 the patches were expected to be nonirritating
9 and nonsensitizing to essentially everyone.

10 The surface of treated wood, the pH
11 around five and a half is similar to skin pH.
12 So I wouldn't expect corrosive effects
13 associated with the chemical environment that
14 hexavalent chromium might be in on the surface
15 of wood. And the surface of wood is not like
16 cement-type exposure because it's not
17 abrasive.

18 My take on what I've presented in my
19 experience is that the size of the uncertainty
20 factors that are needed to compare various
21 type of tests, including patch tests, in the

32

1 patch test, the exposure to 24 to 48 hours
2 under occluded conditions is different as was
3 noted yesterday from typical environmental
4 exposures. And I would say that that should
5 have an impact on what uncertainty factors are
6 considered.

7 In LLNA test, again, I learned more
8 about it yesterday. But to me the use of DMSO
9 seems like something that's very appropriate
10 when you're looking for what things sensitize
11 and to make sure you get a positive response
12 when you should get a positive response. How
13 pertinent it is to develop quantitative risk
14 assessment on is not clear to me. But that is
15 not my area of expertise.

16 I guess my plea to the Panel is, in
17 determining how conservative to be, to
18 consider the endpoints in question, to take
19 into account this is a reversible condition.
20 And I think it's important to the Panel, to
21 all of us as citizens and as consumers, that

33

1 we recognize that the preservation of wood
2 structures is an important need for society.
3 There's a limit on the number of forest.

4 There are structural safety
5 questions. I think it is important, and I
6 would hope that the outcome of these
7 deliberations and the deliberations by the EPA
8 based on what's determined by this Panel is
9 that the use of this particular group of
10 wood-treatment chemicals containing chromium
11 is not overly restricted because I do believe
12 it is valuable.

13 Thank you.

14 DR. HEERINGA: Thank you very much,
15 Dr. Barnhart.

16 Are there any questions from the
17 Panel to Dr. Barnhart? Yes, Dr. Menne.

18 DR. MENNE: I have two questions.
19 One question is that you're seeing some
20 irritation in the workers, skin irritation.
21 And I presume this must be on the hands or

34

1 somewhere else. I'd like to know, since you
2 exclude contact allergy, how have you done
3 this? Is that by clinical impression? Or
4 have people really been patch-tested, or how
5 is this carried out?

6 DR. BARNHART: That's a good
7 question. And to my knowledge, our physicians
8 have not chosen to patch test any of the
9 people. The people that do show irritation
10 are not routinely removed from the exposures
11 they have. If they do have some sort of
12 irritation, and it's extremely rare nowadays
13 at least to appear to be occupational related.
14 Sometimes somebody will get poison ivy or some
15 other thing.

16 The procedure we take is to make
17 sure that the part of the skin that appears to
18 be irritated from whatever, maybe it's a
19 scratch from a pet or maybe it's poison ivy or
20 maybe it's something that was developed in the
21 workplace, is covered and protected in a way

35

1 that it won't be exposed to solutions
2 containing hexavalent chromium the next day at
3 work or that day at work.

4 DR. MENNE: But not patch-tested.

5 DR. BARNHART: As far as I know.
6 And when this question came up, I was very
7 curious about it, and I asked. And our
8 physician, well, no, they haven't seen the
9 need for it. So I don't know of any. I think
10 that we've had a change in physicians over the
11 25 or 30 years a couple of times, and there
12 may have been some in the past. But I could
13 not find any records.

14 DR. MENNE: My second question is
15 concerning the ulcerations in the nasal
16 septum. That used to be a good indication of
17 inhalation of chromate. At least in the
18 nickel industry where they have similar
19 problems, not with the nasal sores but with
20 the nasal cancers, they similarly don't see
21 allergic contact dermatitis. And that can, of

1 course, be improvement of industrial hygiene.
2 But they also speculate with the inhalation in
3 the factories of chromate lead to
4 immunological tolerance so that the population
5 we have in the factories is actually very
6 different from the population you have among
7 the consumers because they are immunologically
8 tolerant because of chromate inhalation.

9 Can you comment on that?

10 DR. BARNHART: That's a very
11 interesting point. I wasn't really aware of
12 that until fairly recently that that was an
13 idea, and it is intriguing. One thing I would
14 say is, that in our factories, there's a wide
15 range of exposures. Some of the people that
16 are, say, packing chromic acid which is a dry
17 solid material. It's called "chromic acid."
18 It's really the anhydrous form of chromic acid
19 is chromium trioxide that can be dusty. Those
20 people are the people that have the most
21 opportunity for this type of nasal irritation

37

1 because of the dust in the air.

2 Some of the other people like people
3 working in the laboratories or people working
4 in other parts of the plant generating power
5 or something where they have occasional
6 exposure to hexavalent chrome but don't have
7 frequent inhalation-type exposures, their
8 experiences as far as dermal effects in at
9 least allergic contact dermatitis all seems
10 the same.

11 And since this is kind of a wide
12 range of inhalation-type exposures, I would
13 think that that was at least significant in
14 considering that. They all couldn't have
15 become desensitized, I don't think.

16 DR. MENNE: Thank you.

17 DR. HEERINGA: Any other questions
18 for Dr. Barnhart?

19 DR. FOULDS: If I could just make a
20 sort of a clinical observation along similar
21 lines. I've always been interested in people

38

1 working with sensitizers who have high levels
2 of exposure. And a number of years ago, I
3 investigated a plant which produced phosphorus
4 sequisulfide which used to be a potent
5 sensitizer in non-safety matches. And
6 normally people who are allergic to this will
7 react to this at parts per million.

8 In this plant, people were paddling
9 around in the actual chemical with 100 percent
10 exposure. I tested 20 people there. Some of
11 them complained of sore eyes. And not one of
12 them had a positive patch test with that sort
13 of high levels of exposure.

14 This may be a similar situation to
15 chromium that there may be some sort of
16 induction of immunological tolerance. Or it
17 may be that people who get problems are weeded
18 out and disappear off into other industries.
19 It's just an observation.

20 DR. BARNHART: I think that's a good
21 observation. If I could respond to that.

1 As far as people weeding out, I feel
2 confident that anyone that developed a
3 sensitization would have -- we would know
4 about because they would have developed a rash
5 and come to the doctor. And if they did and
6 eventually found that they could not work in
7 our environment would leave, but we would know
8 about that.

9 And in our facilities, people tend
10 to work for many years. When I first came to
11 the Corpus Christi facility, I think the
12 average experience level was 18 or 19 years.
13 So these people are not just people that come
14 in for a few days and leave, and then we don't
15 know what's happened to them in many cases.

16 Now contractors are different, and
17 there could be some contractors that came in,
18 did a job for two or three days, left, and
19 developed something subsequent to that that we
20 didn't find out about. But I think that
21 that's not a frequent occurrence.

1 DR. HEERINGA: Thank you very much,
2 Dr. Barnhart.

3 DR. BARNHART: Thank you for the
4 opportunity. I'm sorry about the delay in
5 getting started.

6 DR. HEERINGA: No problem. We've
7 all been there.

8 At this point in time, I'd like to
9 invite our next public presenter, Dr. Warren
10 Stickle, who's speaking on behalf of the
11 Chemical Producers and Distributors
12 Association.

13 DR. STICKLE: Mr. Chairman, members
14 of the Scientific Advisory Panel, EPA
15 officials, and guests, my name is Warren
16 Stickle. I'm president of the Chemical
17 Producers and Distributors Association. I'm
18 really very pleased to be here today and
19 appreciate the opportunity to comment on EPA's
20 examination of quantitative risk assessment in
21 the context of dermal sensitization issues for

41

1 exposure to pesticides.

2 By way of background, CPA is a
3 voluntary, nonprofit organization of about 90
4 companies engaged in the formulation,
5 manufacture, distribution, and sale of about
6 \$6 billion of generic products used on food,
7 feed, and fiber crops, and in the care of
8 lawns, gardens, and turf. Many of our members
9 are involved in the development and sale of
10 adjuvants and inertts used to increase the
11 efficacy and the efficiency of crop protection
12 formulations as well as a variety of
13 cover-based pesticide products. As such,
14 EPA's rules, regulations, and guidelines
15 affect our members companies significantly.

16 While CPDA understands that a
17 driving force behind this Science Advisory
18 Panel meeting is in part a focus on chromium,
19 the potential impact for this discussion and
20 possible mode of addressing chromium would
21 extend far beyond chromium and address dermal

42

1 sensitization efforts from the complete range
2 of chemicals our members produce, manufacture,
3 distribute.

4 First, CPDA is not clear that there
5 is in fact a health or environmental concern
6 at issue. We ask of you as the expert Panel
7 examine whether in fact there is a problem
8 that is both real and widespread and about
9 which EPA needs to be concerned. In this
10 context, we urge you to consider whether in
11 fact there is a pattern of allergic contact
12 dermatitis associated with pesticide use and
13 whether any such pattern suggests that the
14 frequency of allergic contact dermatitis is on
15 the rise. If there is no such pattern, CPDA
16 questions what problem in fact EPA is trying
17 to fix.

18 While the utility of a new tool that
19 allows refined risk assessment is really
20 welcome information, it's not clear EPA has a
21 problem to which it needs to apply such a

1 tool. Simply stated, our concern is that the
2 burdens that the potential quantitative risk
3 assessment concerns raise are not in fact
4 commensurate with whatever adverse effects may
5 result from dermal skin sensitization to
6 pesticide products.

7 While CPDA supports research into
8 potential dermal risks, if you're going to
9 develop a quantitative risk assessment
10 methodology, it's really important to develop
11 the methods and the tools that are
12 scientifically proven and based on good
13 science and clinical statistics; and that if
14 you're going to apply this hypothesis, that
15 you apply it across the board to not just one
16 example but to all pesticides and all
17 chemicals.

18 CPDA is also concerned about
19 extending the potential impact of the
20 quantitative risk assessment for dermal
21 exposure on trade, small businesses, and the

1 already sizeable regulatory burden on our
2 members companies, many of which are small
3 companies. Our members produce and distribute
4 many, many end-use products. And CPDA is
5 concerned that the imposition of a new, overly
6 conservative quantitative risk assessment
7 approach to assessing the risk of generating a
8 reversible skin rash in a hypersensitive
9 population may limit the sale of these end-use
10 products.

11 For this reason, we really ask that
12 the Agency step back from the process and
13 consider what the problem is that it intends
14 to address if in fact there is really a
15 problem here. If there is a dermal exposure
16 issue screaming for attention out there, CPDA
17 is not aware that any products its member
18 companies are producing or distributing are
19 the source of any such problem.

20 As an example, we note that the
21 CCA-treated wood recently banned by EPA for

45

1 residential use because of the arsenic in the
2 formulation was studied thoroughly by EPA and
3 by two separate Scientific Advisory Panels.
4 And in no instance was it determined that the
5 use of CCA, which contains hexavalent
6 chromium, was creating a health problem
7 associated with hexavalent-chromium-induced
8 allergic contact dermatitis. In fact, it's
9 our understanding that there have been no
10 complaints of allergic contact dermatitis from
11 the handling or use of CCA-treated wood.

12 CPDA is concerned that EPA is
13 becoming increasingly distracted from the
14 other regulatory initiatives that are really
15 very high, I think, in need of attention to
16 our knowledge, sound science and real-life
17 information. And we've heard a lot of
18 important testimony over the last day that
19 basically talks about the 60,000 human tests
20 that have been done.

21 The fact that there's very little

46

1 occupational exposure, and all of these things
2 where the use of the product leading to a
3 documented health or environmental hazard.
4 These are questions that are being raised.
5 And we suggest that EPA's resources might
6 better be used in some other areas. In
7 essence, EPA has so many other things on its
8 plate that we're really suggesting that they
9 really don't have the luxury of expending
10 resources to refine a tool to fix something
11 that perhaps is not broken.

12 While CPDA is not adverse to the
13 concept of a new risk assessment model and
14 associated tools that go along with it, we are
15 also concerned about the liberal use of overly
16 exaggerated uncertainty factors that have the
17 potential unfairly to remove safe and
18 effective member-company products from the
19 market place and the piling on of one
20 conservative assumption on top of another
21 conservative assumption frequently leads to

47

1 exaggerated estimates of nonexistent risk.

2 I'd also like to bring to your
3 attention EPA's concerns that, when you're
4 looking at and reviewing a dermal
5 sensitization and where that has arisen in the
6 context of a specific pending ME2 product
7 application, and that in this process, EPA has
8 attempted to impose some new and unprecedented
9 policies and criteria for approving the ME2
10 registration application.

11 CPDA's interest in this general
12 issue of whether EPA is really changing
13 underlying rules, positions, rationale for the
14 registration decisions affecting registration
15 of ME2 products.

16 First, if you allow me to point out
17 that in the context of the dermal
18 sensitization, it's my understanding that EPA
19 and the Office of Pesticide Programs has never
20 identified dermal irritation as a health
21 effect upon which EPA has assessed the

1 underlying registered ability of the
2 particular pesticide. If dermal effects are
3 to be assessed by EPA in considering the
4 registration of one pesticide, they really
5 ought to be applied to other pesticides and
6 perhaps to all pesticides.

7 We're looking at a situation where
8 in fact many, many pesticides could be
9 implicated in such a review, and many of them
10 could be unregistered or removed from the
11 market place. In this case, what we would see
12 is perhaps an unnecessary elimination of many
13 products and the creation of a new de facto
14 standard for the registration of pesticides.

15 Second, if EPA seeks to change it's
16 policies or to newly mint criteria for
17 approval or evaluation of applications
18 inconsistent with past precedence in a manner
19 that has a binding effect on all potential
20 registrants, then we would respectfully submit
21 that EPA has not followed appropriate

1 procedures and requirements in developing such
2 requirements.

3 To our knowledge, EPA has never
4 articulated or even hinted at establishing new
5 requirements or new policies in this area; nor
6 has it explained why any such changes would be
7 necessary. To do so now and apply a change to
8 pending registrations or policies would be
9 contrary to EPA's much publicized commitment
10 to due process, to fair play, to transparency
11 that was most recently suggested in late 2003
12 in its paper entitled, "OPP Procedural
13 Guidance for the Development, Modification,
14 and Implementation of Policy Guidance
15 Documents." I believe that's the so-called
16 policy and policy statement.

17 In this document, EPA renewed its
18 commitment to increase public participation in
19 the development, modification, and
20 implementation of OPP policy guidance
21 documents. And we think that's an important

50

1 step in that direction. But to establish
2 sweeping new FIFRA policies in this context
3 that we're talking about would be inconsistent
4 with what I believe the policy on policy
5 statement is all about.

6 I want to thank you very much for
7 the opportunity to be here and to speak on
8 behalf of the generic pesticides industry.
9 And I look forward to working with the
10 Scientific Advisory Panel as it comes to grip
11 with these issues. Thank you very much.

12 DR. HEERINGA: Thank you very much,
13 Dr. Stickle. Are there any questions from the
14 Panel to Dr. Stickle's presentation? Thank
15 you very much.

16 DR. STICKLE: Thank you.

17 DR. HEERINGA: At this point, I
18 would like to invite our next public
19 commenter, Dr. Jane Vergnes, who is with the
20 ISP Corporation but here on behalf of the ACC
21 Biocides Panel.

51

1 DR. VERGNES: First, I'd like to
2 thank you for the opportunity to present these
3 comments on behalf of the American Chemistry
4 Council Biocides Pane. I am Jane Vergnes.
5 I'm a manager of toxicology at International
6 Specialty Products. And I've been in that
7 capacity for about two and a half years.

8 I was trained at the University of
9 Pittsburgh Graduate School of Public Health,
10 so I do come from a public health background.
11 And I have gone from being a study director of
12 studies that are conducted under various test
13 guidelines to the risk assessment end.

14 Right now I have placed several
15 studies using the LLNA procedure, so I do
16 have, not direct experience hands-on with the
17 assay, but have had experiences running the
18 assay and using the data as well as experience
19 in the risk assessment and science policy
20 areas.

21 I've also had occasions where I've

1 been on the telephone with clinical
2 dermatologists or with workers in the
3 workplace who have had issues with allergic
4 contact dermatitis. So I'm also familiar with
5 the human practical day-to-day side of that.

6 Basically, the Biocide Panel's
7 comments are oriented toward technical and
8 risk assessment issues and not specifically
9 about Cr(VI). But we are concerned about this
10 process being a paradigm for how dermal
11 sensitization risk assessment will be done in
12 the future. And I'll keep my comments brief.

13 The Biocides Panel would like to
14 commend EPA for its efforts to advance the
15 science of human health risk assessment with
16 regard to the dermal sensitization endpoint.
17 The Agency's interest in developing
18 risk-oriented, weight-of-evidence approach
19 that considers sensitization thresholds, area
20 doses, and exposure conditions are welcomed by
21 the Panel as important in development of a

53

1 sound scientific approach.

2 But we have several process concerns
3 about the consultation as well as scientific
4 concerns about the methods that EPA is
5 proposing to use for quantitation of the
6 potential risk to humans of determine
7 sensitization subsequent to pesticides
8 exposure. And, first, I'll talk a little bit
9 about the process concerns.

10 Given the impact that this
11 consultation may have on methodologies that
12 will be used by the Agency to evaluate many
13 pesticides, the Biocides Panel would have
14 welcomed the opportunity to present more
15 detailed comments to the SAP. That wasn't
16 feasible within the time frame that was
17 provided for public comment.

18 Second, while the Panel recognizes
19 that the members of the SAP are highly
20 qualified experts in their fields, we feel
21 that the consultation process would have been

1 better served if one or more of the scientists
2 involved in the laboratory effort to validate
3 the localized lymph node assay and to explore
4 the feasibility of the sensitization reference
5 dose methodology could have been included
6 among the Panel members. And we are concerned
7 about the lack of this specific technical
8 expertise at this point in the development of
9 this assay.

10 And, again, we think that the Panel
11 would have been strengthened by the presence of
12 a U.S.-based clinical dermatologist who has
13 the U.S. workplace exposure context in their
14 experience.

15 We have three principal technical
16 concerns. The first is the use of the LLNA
17 data as a basis for quantitative human health
18 risk assessment for this dermal sensitization
19 endpoint. Some concerns about the use of the
20 minimum elicitation threshold concept; and, of
21 course, we're concerned about the basis for

55

1 the uncertainty factors and how those will be
2 determined.

3 The Agency noted in a December 2001
4 SAP report that the LLNA, "is applicable to
5 test chemicals for the potential to elicit
6 allergic contact dermatitis." And this is a
7 hazard characterization endpoint. And we
8 should also note that the assay as it was
9 validated was validated for that purpose.

10 The Biocides Panel agrees that the
11 LLNA, when properly conducted and interpreted
12 using the total weight of evidence approach,
13 is a useful method for predicting the
14 potential for allergic contact dermatitis in
15 humans. As the Agency has noted, the assay is
16 objective, evaluates dose response, and has
17 been validated very well and offers animal
18 welfare advantages.

19 However, although the assay has been
20 subject to extensive interlaboratory
21 validation studies and is a standard assay

56

1 with an approved OECE protocol, industrial
2 experience with the assay as a hazard
3 characterization tool is still limited. Given
4 that data bases for assay validation are
5 heavily weighted in agents that are likely to
6 be positive in the assay and the relatively
7 short history of experience with this assay
8 outside of the laboratories that were involved
9 in the validation effort, it would be prudent
10 to gain more experience with the technique
11 before making it a critical element in a
12 regulatory quantitative risk assessment
13 process.

14 We've heard anecdotal reports about
15 test articles that are false positives in the
16 assay. They're beginning to surface more
17 frequently but haven't reached the peer-review
18 literature yet. Other biological phenomena
19 unrelated to induction of an allergic response
20 but capable of causing radiolabeled thymidine
21 incorporation in the auricular lymph node are

1 not always well understood among the personnel
2 conducting the LLNA in the contract laboratory
3 environment.

4 Choice of vehicle for the assay and
5 of the dose range is intended to maximize
6 exposure in order to identify potential
7 hazards. However, such test conditions may
8 provide information that does not reflect
9 potential human exposure conditions either
10 qualitatively or quantitatively and,
11 therefore, limits the utility of the data for
12 risk assessment because the exposures are too
13 different.

14 Until more is known about the
15 predictivity of the LLNA under real-world
16 conditions, what types of test articles are
17 likely to elicit false positive results,
18 greater availability of tools to discriminate
19 true sensitizer from irritants, it's not sound
20 scientific practice to expand the use of the
21 data generated of by assay in to quantitative

1 human health risk assessment.

2 Furthermore, the assay was developed
3 as a relatively quick screening tool that
4 would identify potential hazards while
5 reducing the number of animals and the
6 potential of stress to animals. It is
7 focusing only on the induction phase of the
8 response. And it was designed sort of to
9 function as other short-term tests. It's kind
10 of like the ones we used to identify genotoxic
11 hazards. The use of LLNA for quantitative
12 human health risk assessment would be similar
13 to using results of genotoxicity tests to
14 perform quantitative human health risk
15 assessments for the cancer endpoint.

16 The Agency's pointed out that a
17 number of investigators are exploring the use
18 of this assay to rank relative potency of
19 sensitizers. And we would like to emphasize
20 that this is still a research activity and
21 that the types of data of suitable quality to

59

1 establish relative potency are different from
2 those needed to perform quantitative estimates
3 of potential health risks to populations.

4 The Biocides Panel also questions
5 the relevance of extrapolating MET data
6 developed in a few sensitized individuals to
7 human populations. We're not likely to have
8 sufficient information to address issues such
9 as how much quantitative variability exists
10 among sensitized individuals with respect to
11 other elicitation doses.

12 After the comments that were made
13 yesterday, we can see that there is more
14 information out there. But still from the
15 point of view of looking at population, are we
16 really going to have a large enough population
17 to look at in this assay to get a good idea of
18 variability?

19 Presumably use of a sensitize
20 subpopulation would obviate the need for some
21 uncertainty factor. However, without the

60

1 information addressing variability among
2 sensitized individuals or what proportion of
3 an exposed population becomes sensitized, the
4 appropriateness of using these data for
5 population risk assessment is questionable.
6 And where no such data exists, we question
7 whether it's appropriate to perform studies in
8 sensitized individuals to generate the data.

9 We certainly don't want to limit the
10 use of high-quality data based on human
11 experience when they're available and were
12 generated under widely accepted ethical
13 standards.

14 The third point of technical concern
15 to the Panel is the use of default uncertainty
16 factors. It looks like uncertainty factors of
17 up to 10,000-fold might be applied assuming a
18 worse case factor of 10 for each of the areas
19 of uncertainty in the risk assessment: One
20 for interspecies variability and
21 susceptibility, a second for interindividual

61

1 variations, a third for vehicle or product
2 matrix effects, and a fourth for exposure
3 considerations.

4 The Panel would like to note that
5 this aspect of risk assessment methodology has
6 not been developed for the dermal
7 sensitization endpoint since strong
8 quantitative data haven't really been
9 available. And the application of uncertainty
10 factors in the manner proposed by the Agency
11 could lead to unnecessarily conservative
12 estimates of sensitization thresholds. This
13 is an area where further research and
14 discussion are needed before quantitative risk
15 assessments are performed.

16 Just to summarize, the Panel favors
17 a weight-of-evidence approach that includes
18 all data relevant to the dermal sensitization
19 endpoint. We encourage a broader scientific
20 discussion of quantitative risk assessment
21 methodologies that may be applicable to the

62

1 dermal sensitization endpoint. Further study
2 of the LLNA and any other approaches that may
3 provide quantitative data should be
4 encouraged.

5 Experts currently engaged in
6 research into the feasibility of using the
7 LLNA in human health risk assessment should be
8 brought together to validate this new proposed
9 use of the assay data. The expertise of
10 dermatologists, research scientists, risk
11 assessors, and other appropriate scientists
12 should be engaged to study, review, and
13 recommend appropriate methods for conducting
14 dermal sensitization risk assessment and
15 consider the current state of the science.

16 And the Biocides Panel would welcome
17 the opportunity to work with the
18 antimicrobials division in the development of
19 sound scientific approaches to dermal
20 sensitization risk assessment.

21 DR. HEERINGA: Thank you, Dr.

63

1 Vergnes.

2 Just for the record, I'll make a
3 note. The agenda shows your representation of
4 the ACC Biocides Panel. Is that correct?

5 DR. VERGNES: The American Chemistry
6 Council.

7 DR. HEERINGA: Okay. So it is ACC.

8 DR. VERGNES: Right.

9 DR. HEERINGA: Thank you very much.
10 Are there any questions for Dr. Vergnes at
11 this point?

12 DR. HAYES: Is it possible to get a
13 copy of her statement?

14 DR. VERGNES: We have it.

15 DR. HEERINGA: We do have it in the
16 packet. If you don't have it, it was
17 distributed, I believe, yesterday, Dr. Hayes.

18 DR. HAYES: Thank you.

19 DR. HEERINGA: Any other questions
20 from the Panel? Thank you very much.

21 DR. VERGNES: May I make just one

1 other comment that's not in my prepared
2 statement?

3 DR. HEERINGA: Absolutely.

4 DR. VERGNES: In going back and
5 looking at the LLNA data -- and, again, this
6 is an assay where unfortunately I'm engaged in
7 a research program because I have a compound
8 that happens to be, we believe, a false
9 negative in the assay -- it's a compound that
10 has been widely used in humans, so there has
11 been human exposure, extensive human exposure,
12 for many years without any reports of adverse
13 effects.

14 It came out as a strong dose
15 response positive in the assay, and we don't
16 know why. Again, the material doesn't have
17 evidence of it being a strong irritant. But
18 it has brought to my attention that, as this
19 assay is transferred from the laboratories
20 that are very experienced with it to
21 laboratories that were not involved in this

65

1 validation process, that there is a lot that
2 other users don't know about the assay yet
3 that are important in developing the assay and
4 interpreting the data.

5 And as I was going back through the
6 ICVAAM, both the full property report and the
7 peer-review publication of their report, a
8 couple of things that came to my attention
9 were that, of the 209 chemicals that were
10 tested, there were several materials that are
11 known genotoxins that are positive in standard
12 assays for genotoxicity and some of which are
13 carcinogens.

14 They include substances such
15 benzopyrene, ethyl methane sulfonate, I
16 believe ethyl nitrosaluria, and a few others.
17 And these compounds were positive in the LLNA
18 assay. We don't have any data as to whether
19 or not these materials are sensitizer. But
20 one of the points that this brings home is
21 that there are other phenomena that may be

66

1 occurring upon exposure in this assay where
2 you're looking at incorporation of either
3 tritiated label thymidine or some other
4 analog. That may not reflect a sensitization
5 response. It may not reflect initiation.

6 DR. HEERINGA: Any questions from
7 the Panel in response to this last? Yes, Dr.
8 Burleson.

9 DR. BURLESON: Well, I think what I
10 hear you saying is a resounding endorsement of
11 the local lymph node assay. And it seems that
12 you have experience with it. It seems to me
13 that the negatives that you're bringing forth,
14 for example, of laboratories performing this
15 test when they're not qualified, I would think
16 that has happened in every area of toxicology;
17 and we know that is a problem. But I don't
18 think that is a reason to disband this.

19 It seems to me that your comment
20 that the one false negative was a surprise.
21 And I think there are more false positives

67

1 than there are false negatives. And I think
2 that's good if you want to have one or the
3 other. So I do think we need more trained
4 laboratories to use this tool. It's an
5 excellent tool. And if I read the documents
6 correctly, it's only to be used as a starting
7 point for QRA not as a established method for
8 QRA.

9 If we don't take the training wheels
10 off, we're never going to ride the bicycle.
11 You know, I think it's an excellent starting
12 point.

13 DR. VERGNES: I don't mean to imply
14 that the laboratory that I've had my
15 unfortunate experience with was unqualified.
16 What I'm saying is that, in the development of
17 any assay, as the assay moves into more
18 general use, there is going to be a period
19 where communication of knowledge and
20 experience and improvement of technical
21 expertise, there is going to be a period where

68

1 we learn a lot more about the assay than was
2 learned in the initial stages even when you
3 had a very well conducted validation assay.

4 And, again, the validation assay was
5 conducted from the point of view of a yes/no
6 type of approach, sensitizer or not a hazard
7 classification not from the point of view of
8 quantitation or ranking or anything else.
9 Those approaches are still experimental. They
10 haven't been through validation.

11 So I don't mean to imply that the
12 individuals that are conducting or that
13 conducted the assay for me were inexperienced.
14 They were experienced. It's just that, again,
15 I think that this is something we have to
16 recognize about where we are in the
17 development of this assay.

18 And that if we go back to other
19 assays that have been brought into general
20 use, we will find parallels with those; that
21 only after they were in broad use did we find

69

1 out about some of the things that could
2 confound the results, some of the things that
3 need to be considered in interpreting whether
4 a positive result in the assay means you have
5 a sensitizer.

6 DR. HEERINGA: Any other questions?
7 Thank you very much, Dr. Vergnes.

8 We have one more scheduled public
9 commenter. And that is Mr. Richard Wiles who
10 is speaking on behalf of the Environmental
11 Working Group.

12 MR. WILES: Thank you. I'm here to
13 make some general comments about Cr(VI.) I'm
14 not any kind of an expert on dermal
15 sensitization or this bioassay. And I think
16 one of the reasons environmental groups
17 haven't been here is that we really don't have
18 a lot of information on what we think is the
19 main issue here, which is the risk that Cr(VI)
20 might pose to workers and the public by dermal
21 routes or oral routes if it becomes the

70

1 replacement for CCA. So I'll keep this really
2 short. And, hopefully, I won't get kicked
3 out.

4 We worked hard, along with the EPA
5 staff and some portion of the wood-treatment
6 industry, to achieve the phase out of CCA, the
7 arsenic-based wood preservative. We
8 considered it a major step forward, one that
9 some sectors of the wood-treatment-industry
10 seem willing to accept. In fact, some
11 portions of the wood-treatment industry
12 embraced the transition to safer alternatives
13 and are not planning to use ACC.

14 But then along comes ACC. And I got
15 to tell you, our first reaction was, you got
16 to be kidding me. Cr(VI), a known human
17 carcinogen by inhalation, is the safe
18 alternative to arsenic. But anyway, here we
19 are with Cr(VI) as a safe alternative. So
20 don't worry, we're told, the chromium is all
21 fixed in the wood and transformed to Cr(III).

71

1 Which we know in fact does occur, but the
2 question is how fast.

3 And the answer we get from the
4 Agency -- and I think that's the answer that's
5 an honest answer -- is that they don't know.
6 Those data aren't in yet. So dermal
7 sensitization, cancer risk, environmental
8 fate, we, the public, really have no data at
9 all that is relevant to the actual end use of
10 this material on the wood as it enters
11 commerce and the risks that will occur
12 dermally in terms of cancer or any
13 environmental issues.

14 Obviously, there's lots of
15 peer-reviewed literature on dermal
16 sensitization. But there's not much known
17 about how this pesticide is going to behave
18 and the risks it's going to present when it's
19 actually used in commerce. So all I can do is
20 raise the issues that we hope the EPA will
21 consider and that you might consider as well

72

1 because this may be the last SAP Panel that
2 reviews any aspect of this pesticides use.

3 But before I do that, let me remind
4 you why ACC has not been on the market for 50
5 years. Why has the chromium-based pesticide
6 not been on the market for about 50 years in
7 any significant degree? Because it doesn't
8 work. The chromium has no pesticidal value at
9 all.

10 It's in there to fix the copper
11 basically in the compound. But in the end, in
12 fact, it's not even recommended or may not be
13 used. It may be allowed, but it isn't used
14 for any ground-contact uses because it has no
15 insecticidal value at all. That's what the
16 arsenic did in the prior formulation.

17 So in and of itself, the chromium is
18 basically all risk and no benefit. In our
19 view, that doesn't pass the basic test in the
20 pesticide law, even FIFRA, which is a pure
21 risk-benefit balancing statute. So the

73

1 proposal in our view is to put a dermal
2 sensitizer and an known human carcinogen that
3 doesn't even work as an insecticide into wood
4 products to which thousand of workers and
5 millions of people will be exposed.

6 Even as -- and this is the ultimate
7 irony to us -- major players in the
8 wood-treatment industry, pesticides companies
9 stand poised to utilize far safer alternative
10 products. They'll probably be edged out of
11 the market place, though, if ACC is
12 registered.

13 So the scenario plays out like this
14 as far as we can determine. Putting ACC on
15 the market will expose workers to a major
16 dermal sensitizer and a hell of a lot more of
17 a carcinogens, Cr(VI), than they're being
18 exposed to now. Not a problem we're told.

19 Then they ship it to Home Depo,
20 Lowes, mom and pop hardware stores, where
21 presumably the Cr(VI) is not completely fixed

1 yet and there's a large dermal contact. Not
2 to worry. It's a negligible risk. We don't
3 have the data yet, but don't worry.

4 Then we sell it to contractors and
5 homeowners, and presumably the Cr(VI) is not
6 completely fixed either; or maybe it is. It
7 might be, but we don't know. Then these
8 people saw and sand and hammer and build stuff
9 and create a lot of dust with Cr(VI) that's
10 inhaled which is our real concern.

11 What is the risk to carpenters who
12 day in and day out saw and build with this
13 material? We don't know? Nobody does. But
14 we know it would be a lot riskier than working
15 with the alternative, ACQ, which is what many
16 players in the pesticides industry would like
17 to get on the market.

18 And for people that work at EPA to
19 have me here saying anything good about
20 anybody in the pesticide industry just has to
21 tell us how concerned we are about Cr(VI) or

75

1 just how amazed we are that we're dealing with
2 Cr(VI) as a safe alternative product.

3 So what's the exposure to Cr(VI) or
4 Cr(III) on the desk or the playset with the
5 kids crawling all over it that we just built
6 after we bought it from Home Depot. Oops,
7 sorry. We don't know that either. And then
8 let's clean that deck with a bleaching
9 compound which makes more Cr(VI). Is that a
10 problem? How long until the Cr(VI) refixes?
11 Well, we're not sure. But we're going to get
12 back to you on that one as soon as possible.

13 And then there's the fact that
14 Cr(VI) doesn't work anyway as a pesticide, so
15 the deck won't last very long. So we'll have
16 to tear it down when there will be more dermal
17 sensitization, sawdust inhalation. And then
18 we'll ship it to a landfill where the Cr(VI)
19 will leach into the ground water, unless, of
20 course, the companies get an exemption from
21 hazardous waste requirements as the arsenic

76

1 guys did.

2 So to us, obviously, these are not
3 the kind of probable comments that you've been
4 receiving on the methodology related to the
5 dermal sensitization test. We think dermal
6 sensitization is an issue. It should be
7 looked at.

8 The real issues here are the broader
9 issues of the cancer risk from the Cr(VI) to
10 the workers who make this pesticide, the
11 workers who inject this pesticide, the workers
12 who sell the pesticide, the workers who work
13 with the pesticide, and the homeowners that
14 may or may not be exposed to Cr(VI) when the
15 deck is in place after it is built with this
16 pesticide.

17 We think we can do a lot better than
18 ACC as a newly registered pesticide in the
19 year 2004. We know we can. And we hope that
20 we do.

21 Thank you.

1 DR. HEERINGA: Thank you very much,
2 Mr. Wiles. Are there any questions from the
3 Panel first? Thank you very much for your
4 comments.

5 At this point in time before we move
6 on, this is the period of public comments.
7 And there's been a tremendous amount of
8 information, scientific, experiential,
9 exposure presented in the last six to eight
10 hours of our session. And I would like, in
11 fact, to give one more chance. And I would
12 hope people would be to the point and concise
13 in their comments, either for the EPA or for
14 prior public commenters, to offer points of
15 clarification or rejoinder at this point.

16 I'll begin with the EPA. Dr McMahon
17 or Dr. Chen?

18 DR. CHEN: I'd like to make some
19 kind of clarification. For CCA-treated
20 products, we do see different kinds of
21 instance related to dermal effects. And most

78

1 of those are more like newly treated decks or
2 a person working on the CCA-treated wood. And
3 the reasons that we didn't really pinpoint
4 that as chromium-related because we know
5 arsenic also has some kind of dermal
6 irritation effect.

7 So at this moment, we don't have any
8 instance related to ACC. Because at this
9 moment, it's not really registered yet. And
10 so this is something I'd like to point out.

11 And for the CCA risk assessment, at
12 this moment because we don't have the method
13 to really to assess the dermal-related effect.
14 And for the wipe studies that we have for the
15 CCA, basically those are for decks that are
16 already put there for a while. So we don't --
17 so at that time the Cr(III) is a primary one
18 that's being detected. So this is the reason
19 that we didn't really look into the effect for
20 the CCA risk assessment.

21 So it's not really said that we're

79

1 not concerned about this. And I think this is
2 the primary reason we bring this issue to this
3 Panel.

4 DR. HEERINGA: Thank you, Dr. Chen.
5 Is there any other public comment at this
6 point? Yes, Dr. Morgan.

7 MR. MORGAN: Thank you. Dennis
8 Morgan with Forrest Products Research. A
9 couple of rebuttals or comments with the last
10 public speaker.

11 The Environmental Working Group may
12 not be aware of the data that is out there.
13 But the EPA has requested some of the cancer
14 studies that were discussed and some of the
15 worker-exposure studies. And, Dr. Chen, I
16 believe you asked me yesterday about some
17 sawdust issues.

18 While there's no with dermal
19 sensitization which was going on, we have some
20 studies on the inhalation exposure to people
21 cutting wood, freshly treated wood, within one

80

1 week of treatment, an hour a day for a period
2 of time. So those issues weren't brought up
3 yesterday because they weren't specific to
4 dermal sensitization.

5 In the discussion of the wipe study
6 and that fact that we have not submitted data
7 to the EPA, we've been in discussion with the
8 EPA on the protocol for that. But from a
9 registrant's point of view, until you know
10 what the goal is, what the level is you have
11 to be at to be at a safe standard, doing
12 studies and, say, you're floating around out
13 here without knowing where the goal post is,
14 that's not a wise use of resources or money.

15 You know, we have a certain belief
16 where the dermal sensitization, the hexavalent
17 chrome point is safe for leaving the plant.
18 We do know that once it leaves the plant, the
19 reaction continues. In warm summer-like
20 conditions -- you saw some data from Dr.
21 Cooper that says it happens very fast.

1 It's not like it -- this product is
2 somewhat unusual in the fact that, depending
3 upon the time of year and when it gets into
4 service, the time from Cr(VI) and Cr(III) in
5 the amount on the surface changes depending
6 upon the weather conditions. These are all
7 part of the exposure factors that we think
8 goes into the risk assessment in discussing on
9 some of these uncertainty factors.

10 I think the issue that I didn't make
11 clear yesterday, Dr. Meade did a decent job of
12 bringing me to a point. I'd like to
13 reemphasize it. That is we do think that the
14 LLNA is a tool. And it has a lot of
15 possibilities. And I think it is a fairly
16 well-validated tool for Cr(VI). I'm not
17 comfortable in speaking to other chemicals as
18 to whether it's well-validated.

19 But what we're concerned about and
20 what we tried to point out with the patch
21 test, and with the Ryan test where they did it

82

1 in water, wasn't the application to the LLNA.
2 It's where the uncertainty factors fit into
3 the use of the LLNA data in reaching a
4 regulatory endpoint and how other test data or
5 other human experience should be added or
6 reviewed when discussing uncertainty factors
7 on the input.

8 Our concern is you have a chance,
9 and then it becomes a mathematic odds rate
10 formula in going forward without any reference
11 to any other experiences. And that's what we
12 were trying to put forward.

13 Thank you.

14 DR. HEERINGA: Thank you very much,
15 Dr. Morgan.

16 Any additional contributions to this
17 public comment? Yes, Dr. McMahan.

18 DR. MCMAHON: Thank you. Regarding
19 the presentation by Dr. Stickle, I just wanted
20 to clarify that. He may not be aware.

21 We have considered dermal irritation

83

1 previously as a health endpoint when
2 considering the registration of a particular
3 pesticide product. I'm certainly not at
4 liberty to discuss confidential matters
5 related to the pesticide product.

6 But I just wanted to let you know
7 that the matter has come before us previously,
8 and we have dealt with the issue regarding
9 dermal irritation at least in the registration
10 of a pesticide product. So the issue for us
11 does go back a few years.

12 And also keep in mind here, and I
13 think we all know this, that while we do have
14 a specific issue before the Panel regarding
15 chromium, we do have a number of significant
16 science questions in general to the Panel
17 related to dermal sensitization. Those are
18 also important to us, scientific methodologies
19 outside of regulations or policies which we're
20 not here to discuss. So I just wanted to
21 clarify that. Thank you.

1 DR. HEERINGA: Thank you very much,
2 Dr. McMahon.

3 Seeing no additional interest from
4 the public, I'd like to draw the period for
5 public comment to a close. We're at just shy
6 of 10:10. I would recommend that we take a
7 15-minute break and return here at 10:25 at
8 which point we will have a period of general
9 discussion. And I think a review by the EPA
10 of its approach of considering uncertainty
11 factors or available approaches in considering
12 uncertainty factors in risk assessment.

13 See you back here at 10:25.

14 [Break taken at 10:12 a.m.

15 Session resumed at 10:30 a.m.]

16 DR. HEERINGA: Let's begin again.

17 Welcome back to the continuation of
18 this meeting of the FIFRA Scientific Advisory
19 Panel on the topic of the Consultation on
20 Dermal Sensitization Issues for Exposure To
21 Pesticides.

85

1 And I just want to mention that Dr.
2 Jack Helsing of the Antimicrobial Division,
3 Office of Pesticide Programs, is also going to
4 be joining us at the table here.

5 At this point, I mentioned earlier
6 this morning, that members of the Panel were
7 interested in hearing from the scientific
8 staff at the EPA their thoughts on approaching
9 uncertainty factors in the assessment of risk
10 for dermal sensitization. And I think at this
11 point, Dr. McMahon or Dr. Chen.

12 DR. MCMAHON: Dr. Chen is going to
13 make one comment before I start.

14 DR. CHEN: This is Jonathan Chen.
15 And the Agency has just made another kind of
16 paper done by Thompson and Baresto as one of
17 the background material.

18 DR. HEERINGA: Panel members have
19 received that by the way.

20 DR. CHEN: Yeah. And, basically, in
21 this paper it says for the chromium

86

1 sensitivity, dermal sensitivity is like kind
2 of decreased from 1972 to 1996. However,
3 there is a trend. It's like it's coming back.
4 The incidence is increasing again. And so I
5 just include this as one of the reference to
6 the Panel members.

7 DR. MCMAHON: Okay. I'm going to,
8 hopefully, provide some insight and clarity to
9 the question of areas of uncertainty with
10 respect to the dermal sensitization issue.

11 Yesterday in my presentation, I
12 mentioned four areas that are considered, at
13 least in the proposal of methodologies
14 regarding scientific uncertainty, that being
15 interspecies extrapolation such as results
16 from animal tests to humans, obviously
17 intraspecies variations within humans, product
18 matrix effects, and exposure considerations.

19 Two of these, as I think we all
20 know, the interspecies and intraspecies
21 uncertainty factors, are traditional factors

1 used by EPA and other agencies when using the
2 results of animal tests to make determinations
3 of hazards and risk in humans. So the
4 standard in that respect has usually been a
5 factor of 100, a 10-fold factor for each of
6 those two areas as a maximum unless there are
7 other special circumstances. That can range,
8 obviously, from 1 to 10.

9 With respect to dermal sensitization
10 in this regard, we've seen that some proposals
11 have suggested that the interspecies factor
12 can be less than 10 based on the results of,
13 for example, the LLNA data that seemed to
14 correlate somewhat well with the human testing
15 experience.

16 And with respect to intraspecies,
17 we've also seen in one of our proposals that
18 it could be less than 10 based on, for
19 example, the use of sensitized populations
20 that seem to show less variability in
21 response.

1 I think two unique areas for us,
2 that actually have been not published though,
3 are with respect to the product matrix and the
4 exposure. I would just mention that, with
5 respect to Dr. Gerberick's publications and
6 reviewed by Susan Felter, the product matrix
7 with respect especially to cosmetics may need
8 to be considered as we mentioned because of
9 formulation that may alter the potency of any
10 sensitizing chemicals that are in a
11 formulation.

12 And exposure considerations,
13 obviously, being repeated exposure or patterns
14 of use for certain consumer products that also
15 includes where the product may be applied or
16 then integrity of the skin and environmental
17 conditions of exposure. These were mainly
18 considered previously in the area of consumer
19 products. But we know also that we have
20 pesticide formulations from active ingredients
21 that may need to be considered in that respect

89

1 with product matrix.

2 Dr. Griem's approach also includes
3 an interspecies and intraspecies uncertainty
4 factor. And as you've seen in some of his
5 proposals and in his public comment that these
6 are not always a factor of 10 depending on
7 sometimes the chemical of interest. In his
8 proposal, the exposure is more of an issue
9 with respect to the time issue or repeated
10 exposure because, as he has mentioned, the
11 repeated exposures that could result in a
12 subclinical sensitization or the effect of
13 repeated exposures at lower dose on induction
14 of the allergic contact dermatitis. So he
15 includes an uncertainty area for that.

16 Each one of these, obviously, is not
17 set at a specific level but is sometimes
18 dependent on the chemical and dependent on how
19 that chemical is used.

20 I hope that perhaps provides... I'd
21 be happy to answer any further questions on

90

1 those areas.

2 DR. HEERINGA: Any questions? Dr.
3 Hayes.

4 DR. HAYES: Can I go back to the
5 interspecies and intraspecies?

6 When these are used classically, you
7 can account for the differences based on
8 pharmacokinetics and pharmacodynamics. But
9 that's only if you've got specific chemicals.
10 And I think these people are just making
11 arbitrary adjustments based on experience
12 coming up with their number of 3 or the number
13 of 8 or whatever.

14 And, traditionally, if you don't
15 have the kinetic and dynamic data, 10 has been
16 the default. And so I need some help in
17 understanding why you guys are moving to a 3.
18 I think that's the number that you moved to.

19 DR. MCMAHON: For the --

20 DR. HAYES: For the interspecies.

21 DR. MCMAHON: Interspecies. I

91

1 think, in our reading of the material, that
2 was based on the fact that the animal data
3 results for, I think it was, induction
4 thresholds were not very dissimilar to the
5 thresholds observed from the human studies.
6 And that with respect to...

7 DR. HAYES: Again, in those studies,
8 that was just an arbitrary number that the
9 authors chose. They didn't give, if I
10 remember correctly, a basis for choosing that
11 number other than it's closer to humans.

12 DR. MCMAHON: Right. I don't
13 believe there was a specific discussion of
14 kinetics or dynamics. And I do think it can
15 vary by chemical. Right. But, obviously,
16 there's that general question that you raised.
17 And then there's the question of the specific
18 chemical that we're talking about and how much
19 data do we have on that chemical.

20 DR. HAYES: Do we have the kinetic
21 and dynamic data for Cr(VI), which I don't

92

1 think we do, by the dermal route?

2 DR. MCMAHON: I don't think we have
3 it by the dermal route. I do have some data
4 by the oral route.

5 DR. HEERINGA: Dr. Meade has a
6 question.

7 DR. MEADE: Maybe just to add to
8 that question. My understanding of the
9 reduction in that is based on the development
10 or the induction the sensitization being a
11 dose per surface area phenomena as opposed to
12 a mg/kg dose whereas the induction takes place
13 in the skin. So penetration through the skin
14 really will relate more to permeability
15 coefficients of mouse versus human skins and
16 concentrations of Langerhans cells in the
17 skin, and that the human and the mouse are
18 much more closely aligned than, say,
19 pharmacokinetics for on orally ingested or
20 injectable dose.

21 DR. HEERINGA: Any other questions

93

1 about in relation to the discussion on
2 uncertainty factors from the Panel? Yes, Dr.
3 Hayes again.

4 DR. HAYES: Can you explain a little
5 bit more the matrix; and also, the exposure,
6 how it differs from the exposure element in a
7 risk assessment?

8 DR. MCMAHON: Okay. I'll see what I
9 can do.

10 With respect to the matrix, and this
11 is, I think, a general answer not really
12 chemical specific so much. With regard to how
13 the products may be formulated from active
14 ingredients and components of that formulation
15 has been suggested that some components may
16 alter the potency of whatever may be
17 considered sensitizers in there.

18 So, therefore, having an effect on
19 potency, say, if it were to increase, that
20 area of uncertainty would have to be dealt
21 with if we didn't know for sure that the

94

1 chemical may cause a great effect but we
2 suspect it may, applying a factor for how the
3 sensitizer ends up in a product.

4 I think with respect to exposure --

5 DR. HAYES: Before you get off of
6 that. If they could show that in the wood
7 that it was tightly bound and didn't come out,
8 you would give a smaller number to the matrix
9 factor than if a tremendous number leached out
10 it would be a higher number?

11 DR. CHEN: Well, I think at this
12 moment we need more like clarity to kind of
13 differentiate the Gerberick approach and the
14 Griem's approach. And Gerberick's approach
15 basically is more like a product-specific kind
16 of approach. Because in many cases that deal
17 with may be cosmetic or some other kind of --
18 it's product-specific. So they have concern
19 that in some kind of product itself may
20 contain some kind of like a dermal surfactant
21 or some kind of irritant. It may increase the

1 penetration of that allergen going through the
2 penetrated skin. So it can increase the
3 possibility to initiate the allergic contact
4 dermatitis.

5 So Gerberick's approach is more like
6 a product-specific approach if we really,
7 really look into the detail of this kind of
8 approach. So for the same chemical may have
9 different kind of endpoints or CCDS based on
10 what kind of product it's in.

11 And Griem's approach is more like a
12 traditional risk-assessment approach. So,
13 basically, just interspecies variation,
14 intraspecies variations, and repeated exposure
15 variation. Because the approach, it was
16 proposed based on the LLNA data. So he thinks
17 the limited exposure may not be enough to
18 initiate the direction. Maybe at the lower
19 concentration, lower term more frequent
20 exposure may increase a chance to initiate the
21 reaction.

1 So Gerberick's approach does have
2 the matrix effect. But in Griem's approach,
3 they only have more like time, the frequency
4 exposure kind of uncertainty factor.

5 And I discussed this issue with the
6 researchers in Gerberick's group. And they
7 said some of the more like a frequency
8 consideration they included in the use
9 condition kind of uncertainty factor because
10 they do have a use condition uncertainty
11 factor and use condition because they are
12 talking about cosmetic or some kind of a
13 specific product. So they do have like the
14 body of exposure or the integrity of the skin.
15 Sometimes they have the exclusion or
16 something. Those kind of things would be
17 included into.

18 So there are more like -- these two
19 groups they have proposed a different kind of
20 approach. And sometimes they are overlapping
21 in uncertainty factor. And the endpoint

1 selection process is also different because
2 one is basically used strictly from the animal
3 study and other the one is basically going to
4 a group unless he finds a specific number for
5 that group. So this is the difference.

6 So the reason that we use the matrix
7 effect for the wood and because we -- for
8 chromium, once it becomes a problem, then it
9 become -- that is in the state that we
10 consider Cr(VI) is a primary concern.

11 And in my presentation, I emphasized
12 that Cr(VI) is more like water soluble. So it
13 tends to leach out to the surface. So when
14 you touch the surface, that includes more like
15 a rubbing to the wood surface and those kind
16 of things. So those kinds of things is
17 considered more similar to the matrix effect.
18 So we put 10 in our proposed approach. We're
19 not saying it is exactly. This is our
20 thinking.

21 DR. MCMAHON: I just would add a

98

1 little bit if we were talking about exposure.
2 As I mentioned, the site that might be exposed
3 on the body, I think you all know better than
4 I about differences in skin type and
5 susceptibility to that kind of reaction
6 depending on where the exposure occurs. And
7 if we're talking about chromium specifically,
8 then we have issues with integrity of the skin
9 as we know it's an irritant. And I think it
10 might be able to facilitate its own
11 penetration and subsequent reaction of that
12 nature.

13 So as far as a matrix effect occurs,
14 I think Dr. Chen is correct. It would have to
15 be considered sometimes chemically specific.
16 But as you've seen, there are two different
17 approaches. One is a little more specific,
18 and the other one is a little more general.

19 DR. HEERINGA: Any other questions
20 from the Panel?

21 I'd like to maybe address this issue

99

1 with you a little myself because it's been one
2 that I have struggled with over my years on
3 the Panel, the uncertainty factors.

4 I think it's clear that the intent
5 is for these factors to represent uncertainty
6 in the extrapolation of the underlying data,
7 not only maybe to another species or to
8 another population, and then to somehow
9 account for uncertainty which relates to the
10 particular set of clinical or experimental
11 data we have as it might extrapolate to a
12 human population within the species.

13 We're not trying to build in safety
14 margins per se. When all is said and done, we
15 might decide on a safety margin. But we're
16 really trying to account for quantitative
17 uncertainty in the data that we have and are
18 using it as a basis than for establishing the
19 base-dose response relationship or threshold.

20 So in interspecies for example,
21 we're saying that if we do a test or assay in

100

1 a rodent or a guinea pig and we now have to
2 take that data and extrapolate it to a human
3 population, we're going to allow an order of
4 magnitude shift in the X-axis on the dose.
5 And everything else remains the same because
6 the data that's used to fit these points,
7 that's used to fit the shape of the
8 dose-response curve, we're just going to 10
9 exit essentially; on log 10, we're going to
10 shift the axis on the dose.

11 In some ways that's what we're doing
12 there. So to consider the uncertainty factors
13 for interspecies, we're really looking at what
14 sort of shift on that log-dose axis would we
15 anticipate potentially just as the result of
16 the fact that we're dealing with one species
17 versus another.

18 And then intraspecies is always also
19 a little difficult because in some ways that's
20 a variability component and you've already
21 made the shift for the interspecies. And so

101

1 you have a dose-response relationship which
2 presumably should be across the population of
3 individuals, but now we're sort of 10-Xing
4 that at any point. Which says that over 10
5 trials of an individual whose dose point on
6 this dose-response curve would be this, we're
7 also allowing that over 10 trials to have as
8 much as a 10-fold variability.

9 I've always been a little concerned
10 over the compounding of these. It's
11 essentially compounding these factors. If you
12 think about, what you'd like to look at is the
13 joint uncertainty of the extrapolation from a
14 set of data to real population dose-response
15 relationships. And I guess as we get these
16 multiplicative factors, my own sense is that
17 it is very easy to be conservative.

18 And in other settings, particularly
19 carcinogenic settings as opposed to the dermal
20 sensitization setting, I think allowing this
21 sort of conservatism by compounding these

102

1 uncertainties and then effectively building in
2 through that compounding of a margin of
3 safety. I think there may be justification
4 there.

5 But I think in this these types of
6 issues, I'm concerned that we have too much
7 compounding of uncertainty, numerical
8 uncertainty. And, you know, very honestly, if
9 we wanted a greater safety factor, I could see
10 justifying that in these terms. But in
11 looking at the actual extrapolation from a
12 particular set of clinical or experimental
13 data or test data into real populations, I
14 think we have to be very careful in thinking
15 about exactly what these factors are doing.

16 And I see them as essentially
17 accounting for uncertainty, true uncertainty,
18 in how if we could actually conduct that
19 particular dose-response experiment with the
20 population, in a large enough population -- if
21 we could get the true dose-response population

103

1 that occurred in the U.S. population, set
2 error bounds not only on the estimation of
3 that, but the prediction of an individual
4 outcome for an individual, I think we'd
5 probably be satisfied with that and then set a
6 safety margin on top.

7 That's just a comment. I've
8 wandered a little bit here. I think in terms
9 of thinking about this, the uncertainty
10 factors themselves, am I correct in saying
11 that we're really trying to account for
12 essentially the statistical or measurement
13 uncertainty in extrapolating from a set of
14 measurements conducted in one setting to the
15 population that we're trying to represent or to
16 protect here?

17 DR. MCMAHON: I think your comment
18 is very valuable, and I appreciate it. I
19 think that's certainly part of the reason. I
20 think that these factors go way back in the
21 history of regulation. And I know that some

1 have, you know, questioned the basis for those
2 at times. So I do think your comment is
3 applicable.

4 And when we, for instance, looked at
5 the human data on elicitation, we also
6 recognized that we didn't need to always have
7 a 10-fold. For not the same reasons, but I
8 think what you're addressing is also relevant.
9 But we also had issues of study population
10 that we had to think about a little bit when
11 you talk about trying to get a true dose
12 response in the human population from data
13 that we had that had obviously, you know, a
14 limited number of volunteers.

15 But in recognizing that these
16 people, with respect to at least the issue of
17 dermal sensitizations, were already
18 sensitized. So perhaps that in our mind took
19 out some of that variability within humans.
20 But then recognizing also that, you know, they
21 didn't design the studies to try to establish

105

1 a no-effect level but could see effects doses
2 as low as they could reasonably test to our
3 way of thinking at the time.

4 But I appreciate your consideration
5 of those other factors in the derivation and
6 consideration of appropriate uncertainty
7 factors in this case.

8 DR. HEERINGA: Dr. Jones, yes.

9 MR. JONES: I think you have very
10 nicely characterized what it is that we're
11 trying to do. Not speaking at all to your
12 analysis of the conservatism of what we've
13 proposed or not, but your characterization of
14 what we're attempting to do with the
15 uncertainty factors, I think, is right on. I
16 think it will serve the discussion well as we
17 go forward.

18 DR. HEERINGA: Right. I agree. I
19 think the analysis, I mean, that has to be
20 specific to the sets of data that are being
21 incorporated into the scenario. I really

106

1 don't want to comment on this specific one. I
2 think there will be plenty of input on that
3 from persons who are more expert than I.

4 But I'm just trying to sort at as a
5 statistician. You want to do this exactly
6 what are we, how are we managing not just
7 known or measurable variability in a set of
8 experimental data but then this extrapolation,
9 essentially the projection process.

10 And statistical uncertainty, I mean,
11 it winds up being guesses, informed guesses at
12 this point in time. But I think it still
13 makes good sense to partition out uncertainty
14 and sort of extrapolating a set of data to
15 another set of conditions; and on that basis
16 saying, yes, if we could extrapolate
17 perfectly, this would be the dose-response
18 curve. And having set that, then what margin
19 of safely additional do we want to put on top
20 of that.

21 I worry a little bit when we start

107

1 confounding the margin of safety issue with
2 the uncertainty issue. I look at it as what
3 if in a perfect world we could be given that
4 set dose of response data that we really
5 wanted for the U.S. population having gotten
6 to that the point. To me, that's the point
7 that we're trying to get to with the
8 uncertainty factors. And then on top of that
9 is this issue of margins of safety.

10 MR. JONES: If I could just add one
11 thing.

12 The margin of safety discussion and
13 analysis within the Agency will occur in the
14 risk management of this. And that's where you
15 get to the point that you had made, the
16 oncogenicity and development effect may
17 warrant a greater margin than an endpoint such
18 as dermal irritation or dermal sensitization.
19 That's how we attempt to manage that
20 discussion within EPA.

21 DR. HEERINGA: Thank you very much,

1 Mr. Jones.

2 Any other questions or comments from
3 the Panel?

4 At this point, we have an
5 opportunity before we break for lunch but more
6 importantly before we begin our afternoon
7 session in which we'll begin to formally
8 address the charge questions. And at that
9 point in time, our focus will be fairly
10 heavily directed to those specific questions
11 in responding to the issues raised there and
12 the questions posed in the charge questions.

13 And I'd open it up to the Panel at
14 this point. We've had a lot of opportunity to
15 interact and ask questions over the past day
16 and a half. But if you have any specific
17 questions for the EPA scientific staff or
18 potential questions that would go back to some
19 of the public comments, you're free at this
20 point. Dr. Monteiro-Riviere?

21 DR. MONTEIRO-RIVIERE: No.

1 DR. HEERINGA: Dr. Siegel.

2 DR. SIEGEL: No.

3 DR. HEERINGA: Dr. Chu?

4 DR. CHU: I think the Panel would
5 appreciate it -- at least I, myself, would
6 appreciate it if either Dr. Chen or Dr.
7 McMahon can elaborate on what are the
8 rationales for incorporating of these
9 uncertainty factors. I know you presented
10 yesterday. But because this uncertainty
11 factors have become such a important issue, if
12 you can elaborate again, I think it would help
13 us.

14 DR. HEERINGA: Dr. McMahon.

15 DR. MCMAHON: I hope I'm not
16 repeating myself. Well, as I said, any time
17 we get, for instance, experimental data in
18 animals and as you've heard we try to look at
19 that and how that might effect the human
20 hazards situation. So we have to look at the
21 kind of data that it is. And this session,

110

1 we're talking about data on dermal
2 sensitization.

3 But in general, you would think that
4 the kind of data that you have in front of
5 you, what sort of extrapolation do I need to
6 look at how that response might happen in
7 humans. And, of course, as we all know,
8 typically it's been assumed, through reasons
9 that go way back, that we impose an 10-fold
10 uncertainty to get from the animal to the
11 human data.

12 And as we've heard also, that can
13 vary based on the endpoint that we're
14 concerned about. So for instance with respect
15 to developmental toxicity or carcinogenicity,
16 we might be a little more concerned about the
17 uncertainty in the experimental data in
18 animals versus what we might know about
19 humans.

20 And then when we look at it in
21 humans whether or not we have data itself in

111

1 humans on this type of response and how that
2 response may vary within the human population,
3 we also consider whether we need an
4 uncertainty factor in that area which also has
5 been traditionally set at 10 but, again, can
6 vary. And any one of these can vary from 1 to
7 10 or perhaps even greater in certain
8 circumstances.

9 So those are kind of two general
10 factors. But it, again, can be based on the
11 type of response we're interested in. And I
12 think, as you've seen with respect to the
13 dermal effect, it hasn't always been proposed
14 to use the maximum factor. And in some cases,
15 it's been proposed that one is sufficient.

16 I think then, again, as I mentioned,
17 there were a couple of areas that we had
18 wanted to get some input from the Panel on
19 regarding what product we might be talking
20 about. And I mean that in general. If we
21 have a product outside of this issue with

112

1 chromium and treated wood, which is also an
2 issue for us, obviously, but with respect to
3 general product matrix whether or not that
4 comes into play when we're talking about the
5 effect that might happen with sensitization
6 and also the effects of exposure that may
7 occur repeatedly.

8 So those are sort of a little more
9 specific to the issue before the Panel
10 regarding uncertainties, whereas the first two
11 can be more general. They can also apply
12 specifically. But the other two that we've
13 noticed or have read in the literature apply,
14 tend to apply specifically to this type of
15 situation.

16 DR. HEERINGA: Dr. Burleson?

17 DR. BURLESON: (Shakes head in
18 negative.)

19 DR. HEERINGA: Dr. Jacobs?

20 DR. JACOBS: No.

21 DR. HEERINGA: Dr. Bailey?

1 DR. BAILEY: Not at this time.

2 DR. HEERINGA: Dr. Meade?

3 DR. MEADE: Possibly if you could
4 just clarify. I think one of the questions
5 that's come up among Panel members as we've
6 talked about these questions is a little more
7 clarity on the charge related to the
8 questions.

9 Are you asking us to look at the
10 first three questions in light of any
11 pesticide application that may come in front
12 of the EPA, and the fourth only being specific
13 to chromium?

14 DR. MCMAHON: That is correct.

15 DR. MEADE: Okay. Thank you.

16 DR. HEERINGA: Dr. Pleus.

17 DR. PLEUS: If I can go back, Dr.
18 Chen, to your presentation yesterday on the
19 case study on Cr(VI), your slides. And I'm
20 trying to get to, again, the charge questions.
21 Because the first three, as Dr. Meade just

114

1 pointed out, that may be pertaining to
2 pesticides in general; and, four, that also
3 specifically goes to the Question 4. I'd be
4 interested as it pertains just to how do you
5 interpret the results of induction versus
6 elicitation.

7 Can you just kind of comment on that
8 from the Cr(VI) case study?

9 DR. CHEN: Well, I think this is a
10 very good question. Because, in general, the
11 Agency, we never really kind of differentiate
12 the induction and the elicitation in our
13 traditional approach. But at this moment, we
14 do have a situation that what kind of
15 population that we really need to protect from
16 these kind of things. So we kind of focus on
17 the elicitation phase or the induction phase.

18 So if we're going to differentiate
19 these two, then we need to figure out the way
20 to differentiate. If we based it on the
21 induction phase, then what kind of thing that

115

1 we need to look into. If we're going to look
2 into the elicitation phase, then what kind of
3 thing we need to worry about. Then because
4 there are studies that have come that out
5 focus on the induction phase. So this one
6 become this reason that we use the LLNA data
7 to do the proposed risk assessment process.

8 And then for the three studies that
9 we mentioned about because they all using the
10 sensitized population. So it's more like
11 based on the elicitation phase. And Griem, et
12 al., he also proposed the approach that uses
13 the LLNA data to predict the elicitation kind
14 of threshold. And so this is the outline of
15 my presentation. So this is the way I
16 separate. This is the way I tried to
17 differentiate the induction and the
18 elicitation.

19 DR. PLEUS: Just maybe a follow-up
20 question to me is: If your general questions
21 on 1, 2, and 3, so to speak, are applicable

116

1 for any pesticide, it depends obviously on the
2 data that you receive to do a quantitative
3 risk assessment. Is that right?

4 DR. CHEN: Yes.

5 DR. PLEUS: And in the case of the
6 Cr(VI) case study, you're just going through
7 the exercise of doing both induction and
8 elicitation to come up with the value.

9 DR. CHEN: Yes. Basically, in the
10 first three questions, we are trying to be
11 very general.

12 And there's one thing I need to make
13 clear. Because the reason that we started to
14 worry about this is because in some situations
15 that the warning label and those kind of
16 things won't be practical in some situations.

17 So we are not saying that we are
18 going to, you know, apply it to everything
19 that if we have some kind of discussion like
20 dermal sensitization being discussed. But we
21 do have some situation that the warning

117

1 language won't work. So we need to look into
2 something to how to protect the general public
3 because this kind of situation may happen.

4 And so the first three questions are
5 basically more like not chromium specific.
6 And the fourth question, if we're talking
7 about chromium in the wood preservatives, this
8 is more like it becomes a case study. So,
9 basically, the discussion in the first three
10 questions are very important to the fourth
11 question also.

12 MR. JONES: If I could follow up on
13 that as well.

14 For most of the products that we
15 regulate, most of the pesticides products, we
16 believe the existing framework as it relates
17 to dermal sensitization, dermal irritation, is
18 adequate. We're basically testing in a yes/no
19 kind of way. And if there is irritation
20 sensitization predicted, we feel that through
21 labeling, because the user of the product, the

118

1 person who is going to be exposed can look at
2 the label and be warned about the potential
3 for the irritation, sensitization, potentially
4 be advised to wear gloves or some other
5 protective manner.

6 For the group of products that we
7 regulate where there isn't any effective way
8 of giving the consumer notice about what may
9 happen, such as in what we refer to as a
10 "treated article" where the pesticide is
11 applied to wood in this example but then that
12 wood is not regulated after that by the
13 Agency, there's no way for the consumer to
14 have knowledge about the potential
15 sensitization or irritation.

16 So when we say that this framework
17 would apply to all pesticides, we really mean
18 all pesticides that have the similar kinds of
19 potential exposures broadly to consumers as
20 opposed to products that which would be the
21 vast majority of products that regulate where

119

1 the existing framework which involves
2 answering a yes/no question about this and
3 then making some statements on the label with
4 potentially some protective equipment to
5 protect users.

6 I think actually the universe that
7 it would potentially apply to be rather
8 narrow. Wood preservatives would be a logical
9 -- I think if we sat around and thought about
10 it, we could think about some other products
11 for which this quantitative approach may be
12 appropriate.

13 DR. HEERINGA: Dr. Hayes.

14 DR. HAYES: Since the first three
15 questions are general in nature, I'm going to
16 go back to the uncertainty factors. If you go
17 back to the original Starr and Dawson paper
18 where the RFD was originally proposed, there
19 were five uncertainty factors and a
20 modification factor. You've talked about only
21 two of those five and nothing about the

1 modification factor.

2 Is that something that this Panel
3 should consider? Or does anybody remember
4 that ancient paper? It was in 1986 or '87 or
5 somewhere around there. It was an EPA
6 publication.

7 MR. JONES: I know nothing about
8 that paper. However, I would refer the Panel
9 back to Dr. Heeringa's original framework that
10 he spoke to about 10 minutes ago which is we
11 follow some general practices in our
12 extrapolation from animal and human data to
13 determine what the population hazard may be.
14 And I think that if you think of it in that
15 context, that helps guide what kinds of
16 category factors are appropriate or not. And
17 so I wouldn't necessarily take one off the
18 table if it helped in that extrapolation.

19 DR. HAYES: Much of what he said was
20 said in that original paper. You ought to go
21 back and find that paper and look at it.

121

1 DR. HEERINGA: Dr. Hayes, where
2 would we locate that paper? Would that be --

3 DR. HAYES: Starr was the senior
4 author on it. So I assume you could do any
5 kind of a search and find it.

6 DR. HEERINGA: S-t-a-r-r.

7 DR. HAYES: He was in Cincinnati.
8 And Dawson is TARE, the Toxicology Center for
9 Excellence. I'm sure Mike could get it for
10 us. Starr is dead, but Mike is still with us.

11 DR. HEERINGA: Okay. Very good.
12 Any additional questions, Dr. Hayes? Dr.
13 Menne?

14 DR. MENNE: No.

15 DR. HEERINGA: Dr. Foulds?

16 DR. FOULDS: It's probably just more
17 sort of curiosity than anything. The thrust
18 of this is to approve a new sort of wood
19 preservation in the States. And yet it's not
20 particularly new. It contains a substance
21 which has been know about for a long period of

122

1 time, and it's known to be a sensitizer. And
2 there's been exposure worldwide to it up to
3 date, but maybe not in the levels that may
4 occur in United States if it's used widely.

5 I suppose I'm curious from the point
6 of view as why are you looking at it so much
7 from the dermal sensitization point when we've
8 heard no data showing that it causes any
9 clinical problems in the users as such. We've
10 obviously got concerns about people who are at
11 the manufacturing stage. But it's in the
12 users that we're sort of concentrating on.
13 And why are we concentrating so much on the
14 dermal sensitization when there is no evidence
15 really that has been provided to show that it
16 is a problem?

17 DR. MCMAHON: Maybe I can answer
18 part of that. We don't have, to my knowledge,
19 wide use of this particular product in that
20 setting yet. And I think to my knowledge in
21 an industrial setting you can certainly take

123

1 definitive measures to guard against things
2 like dermal sensitization.

3 When you release a product that, as
4 Jim Jones mentioned, you no longer regulate
5 but which you suspect has a known sensitizer
6 in it but for which we haven't had definitive
7 data yet to make that decision but we are at
8 the point of looking at science methodologies
9 that would be appropriate to determine if that
10 would be a problem or not once we have all the
11 data in front of us.

12 So I think to my way of thinking
13 that's where we are. We aren't making
14 conclusions yet about that. But we are,
15 because of the nature of the product, trying
16 to move forward with methods that we might be
17 able to use to make that determination for
18 consumers.

19 DR. FOULDS: I suppose I'm just
20 thinking that, you know, if we were talking
21 about cement here, then we could have a good

124

1 reason for following this up because we know
2 that it causes a problem. We know that if we
3 reduce it to a certain concentration then that
4 problem then is considerably reduced. And I
5 suppose it's a curiosity. We don't know that
6 there is a problem, and there are no problems
7 reported as far as I'm aware.

8 DR. CHEN: I think I'm going to add
9 something to Dr. McMahon's points. Because at
10 this moment, we are going to introduce a
11 product that we know before is completely
12 fixed then Cr(VI) can kind of present on the
13 surface of wood. And we are going to
14 introduce a kind of more like this kind of
15 thing into the general public.

16 So if we really look into all the
17 kind of many human-related studies, most of
18 them are basically are a sensitized population
19 or something. So based on the group of people
20 that they go into see dermatologists and then
21 become a study group. And at this moment, we

125

1 are going to introduce something. So it's
2 very possible that the general public may not
3 have the chance to be exposed to the Cr(IV) in
4 this kind of concentration.

5 What kind of concentration? We
6 don't know yet. But in that case, would they
7 become sensitized because of this exposure?
8 So this is something that we need to really
9 look into. And we are looking into kind of
10 surface kind of data and those kind of things.
11 And once we have those data, we'd like to have
12 some kind of thing we can use to
13 quantitatively assess the situation.

14 DR. HEERINGA: Thank you very much,
15 Dr. Chen.

16 Any other questions at this point?
17 Dr. Handwerger. We'll go down this row. I
18 didn't mean to exclude you.

19 DR. HANDWERGER: My comment is a
20 general one. It has no relation to what we've
21 been talking about for the last two days. But

126

1 I'm really surprised about the assays that we
2 use to assess some of the problems that we
3 face. They are subjective. They are
4 imprecise. They're distal to what we are
5 really interested in in many ways. And we're
6 not really taking advantage of genomics and
7 proteomics and modern molecular biology.

8 We know an awful lot about
9 antigen-presenting cells at a molecular level.
10 We know some of the genes that are clearly
11 activated and are very specific in
12 hypersensitization and so forth. And we could
13 clearly develop methods, I'm sure, to look at
14 the effects of many of these quote "antigens"
15 and see whether they truly activate Langerhans
16 cells in skins. We could do dose responses on
17 those.

18 I mean people in cancer biology do
19 this all the time to understand about new
20 cancer drugs and whether they have effects on
21 very specific genes. And I'm just surprised

127

1 that so much of the issues that we are talking
2 about today are based on 1970 technology and
3 not 2004 technology.

4 I would hope that, you know, the
5 scientific community would really address
6 these important health problems based on
7 modern technology because I don't think a skin
8 test is clearly the most sensitive way to do
9 things and certainly is subjective and
10 probably you could not find 100 hundred
11 percent concordance among any three
12 dermatologists, probably. And that's the
13 nature of a test.

14 So that's the only comment that I'd
15 like to make.

16 DR. HEERINGA: I also want to
17 include my colleagues the front row here, too.
18 Dr. Thrall, any questions?

19 DR. THRALL: No.

20 DR. HEERINGA: Dr. Isom, any
21 questions?

1 DR. ISOM: No questions.

2 DR. HEERINGA: At this point, seeing
3 no additional questions from the Panel. From
4 the EPA, anything you would like to add before
5 we break for lunch? We'll have the
6 opportunity, obviously, during the question
7 period to interact.

8 I have 11:15. And as I indicated at
9 the start of the session this morning, the
10 Panel had requested an early lunch, members of
11 the Panel, to be able to digest what has been
12 covered this morning and to consolidate
13 comments, discuss these issues.

14 So we're going to take an early
15 lunch. But I realized, too, because I don't
16 want you to have a brunch; so we're going to
17 plan to reconvene here at 12:45. That will
18 give people a chance to take care of a little
19 business possibly and come back to start again
20 at 12:45 for the questions.

21 Thank you.

1 [Lunch recess at 11:12 a.m.

2 Session resumed at 12:50 p.m.]

3 DR. HEERINGA: Good afternoon and
4 welcome back, everyone, to the continuation of
5 our FIFRA Scientific Advisory Panel session on
6 Consultation on Dermal Sensitization Issues
7 for Exposures to Pesticides.

8 At this point in our agenda, we have
9 reached the stage where the Panel will
10 consider the specific charge questions, the
11 four questions that have been placed before it
12 by the Environmental Protection Agency. And I
13 think we would like to begin.

14 At this point, Dr. McMahon or Dr.
15 Chen will actually read the first question.
16 And I believe the Panelist's have a
17 coordinated response which will involve the
18 use of the PowerPoint system. And we will
19 address the first question, and then we'll ask
20 Dr. McMahon to read the second one.

21 Let's begin with the first. Dr.

1 McMahan.

2 DR. MCMAHON: Thank you, Dr.

3 Heeringa.

4 Our first question for the Panel is,
5 as you know, related to proposed methods that
6 we have presented on determination of
7 induction thresholds to dermal sensitizing
8 chemicals.

9 Specifically, the questions to the
10 Panel are: What are the strengths and
11 weaknesses of the proposed quantitative
12 approaches for determination of induction
13 thresholds to dermal sensitizing chemicals?
14 And what other approaches does the Panel
15 recommend the EPA consider? And which
16 uncertainty factors does the Panel feel are
17 the most appropriate for application to
18 quantitative methods of induction threshold
19 determination? What factors should be
20 included in the determination of the magnitude
21 of each uncertainty factor?

1 DR. HEERINGA: Dr. Pleus is our
2 primary discussant on Question No. 1. And
3 we'll let him respond at this time.

4 DR. PLEUS: Thank you.

5 Will there be someone that forwards
6 the slides, or should I do that myself?

7 DR. HEERINGA: Two able AV
8 technicians are approaching the table at this
9 moment.

10 DR. PLEUS: Let me just start by
11 saying what a pleasure it is to be a part of
12 this Panel and working with a great group of
13 people here all sitting up here at the front
14 table. It's been a real pleasure to be able
15 to work with everyone here.

16 I also thank the respondents for
17 providing really good information that I found
18 useful personally and I know that my
19 colleagues here also found personally. So the
20 time and energy it took to come out here is
21 greatly appreciated.

1 What we have done in terms of with
2 questions is we've divided into the charge
3 questions that we were asked, questions that
4 we identify as 1, 2, 3, and 4. But what we
5 heard when the group of us were talking was
6 some general principles and thoughts that we'd
7 like to share with everyone here in
8 attendance.

9 I think one of the questions that we
10 had concerns on -- and these are just general
11 questions -- is there an allergic contact
12 dermatitis problem? On one aspect of this,
13 Questions 1, 2, and 3 are really methodology
14 problems or questions. So I don't think that
15 that really answers it.

16 But I think an important question
17 that was raised amongst our group is: Is
18 Cr(VI) in treated wood a problem? And we are
19 going to address that in Question 4.

20 There is a question as to allergic
21 contact dermatitis as a disease in that should

1 it be assessed in a similar manner or as
2 stringently as risk assessments for cancer,
3 for neurodevelopmental or reproductive tox or
4 any of the other endpoints that are typical
5 with a risk assessment process.

6 In addition, allergic contact
7 dermatitis is, I think, unique from typical
8 endpoints in that it's reversible. And that
9 has to be qualified. Qualified from the
10 standpoint that signs and symptoms are
11 reversible. In other words, you take away the
12 exposure, signs and symptoms disappear or
13 remove themselves. However that said, the
14 underlying sensitization is not. It does not
15 go away.

16 In terms of some underlying
17 principles that we felt were very useful in
18 the risk assessment process, we came up with
19 other principles that we thought would be
20 useful. One is it's not the content in the
21 material, but it's the amount of the material

134

1 being released. We used as an example nickel
2 in jewelry. The content or the concentration
3 of nickel in a particular product is
4 interesting information, but it's really the
5 amount that the person will receive that's the
6 most important metric.

7 The dermal dose metric ug/cm² is the
8 preferred dose metric. And we were very clear
9 on that. Human studies are highly preferred
10 over animal for the risk assessment process.
11 I know that's consist with EPA guidelines in
12 risk assessment. But we wanted to ensure
13 that. In particular, the allergic contact
14 dermatitis has a number of studies that have
15 been done in humans, and that certainly is a
16 useful source of information.

17 It was important to examine
18 materials on a case-by-case basis. We found
19 it somewhat difficult to start to extrapolate
20 from using very general principles on one
21 chemical to the next chemical to the next

1 chemical. And we felt that it was clear that
2 each chemical is unique, and studies are
3 different. They have their strengths and
4 their weaknesses, and that should be
5 incorporated into the process.

6 And then one other component to that
7 is current animal testing methods may be
8 overly conservative when used for the risk
9 assessment purposes. In other words, animal
10 testing obviously gives you good information
11 and scientific information. But when you're
12 extrapolating or using that for risk
13 assessment purposes, we are of the question
14 that it may be overly conservative.

15 And our last point here is that it
16 should be an effort to use tests that mimic
17 actual human exposure and conditions. And
18 often in the studies that we have reviewed
19 here, whether it be for chromium or other
20 chemicals that people had studied, the
21 exposure conditions may be overly exaggerated

136

1 or they may have special conditions may not
2 apply to the actual human exposure. And I
3 think that it goes without saying that getting
4 a good sense of what human exposure is and the
5 potential exposures would be critical.

6 We had some comments on the LLNA
7 assay. And they are the following: In terms
8 of the LLNA assay, we found it to be an
9 objective testing method and that you can
10 actually calculate an EC3. We also concluded
11 that it's a good screening tool. In other
12 words, it isn't necessarily definitive; but it
13 helps you get experimental information that
14 can be useful in terms of determining what
15 other studies that could be done.

16 It's an alternative to the guinea
17 pig test. It goes towards minimizing the use
18 of the number of animals in testing.

19 Given equal numbers of exposures,
20 there's an exaggeration of exposure. What I
21 mean here, given equal numbers of exposures,

137

1 the human may be exposed more intermittently.
2 The animal testing may be done more
3 extensively. And so there is a mismatch
4 between human and animal testing particularly
5 with the LLNA assay.

6 It was concluded that with more
7 research on different compounds -- and there
8 was also a question of other laboratories --
9 that LLNA could you used in the future for
10 assessing potency of different compounds. But
11 that's with more research. This is a good
12 tool for testing new chemicals particularly
13 where data don't exist; if it's validated for
14 hazards identification but not validated for
15 testing for estimates of potency and it's not
16 validated for metals and mixtures. And that's
17 particularly important with Cr(VI) which is
18 part of our Question 4.

19 On human data. So we're now, again,
20 talking about general principles before we get
21 into questions or charge questions. We

138

1 strongly agree on the preference of human data
2 for risk assessment. Human data provides
3 greater certainty, less uncertainty in that so
4 fewer uncertainty factors are needed.

5 For the risk assessment process, we
6 felt very strong that the vehicle needs to be
7 consistent with exposure of the chemical in
8 question. In other words, the careful,
9 thoughtful approach in mimicking the materials
10 that may be actually contaminated -- let me
11 see if can say this. Let me switch the
12 sentence around.

13 What we're trying to do here is to
14 make sure that the vehicle is consistent with
15 the types of exposure that a person, a human,
16 would get. So for example, if a vehicle is
17 something like olive oil and some other
18 compounds or DMSO, and in the human situation
19 the exposure is with water, to the greatest
20 extent possible there should be a mimicking of
21 those two conditions.

1 For testing, the patch test, the
2 Panel strongly suggests using patch test
3 through dilution of the test article to
4 determine elicitation threshold. On the open
5 test, there was strong consensus amongst the
6 group that open test should be developed in
7 the future for use in human testing. And then
8 using different anatomical sites, different
9 masses of materials, and using at different
10 times, we felt that that would be a much
11 better indicate particularly for the risk
12 assessment process.

13 In terms of analysis of data, the
14 Committee strongly suggests using the
15 weight-of-evidence approach for analysis of
16 materials or chemicals in determining the
17 assessment for allergic contact dermatitis.
18 Basically, gather all the information. And we
19 saw some comments to this in -- I can't
20 remember which article, but I'm sure a number
21 of them.

1 And we added a few more actually in
2 terms of getting historical data, looking at
3 SAR, QSAR, looking at animal, clinical,
4 toxological, and epidemiological data. That I
5 think probably mimics what we mentioned
6 initially in terms of looking as much as
7 possible on a case-by-case basis to what
8 information we have and using the best
9 information, the best science in order to more
10 forward on a risk assessment.

11 So we're now done with our kind of
12 general comments. Again, we derived that
13 through communication. And there was a
14 consistency amongst all of the members in
15 terms of describing that in describing these
16 principles and underlying factors.

17 On Question 1, I'm the discussant
18 leader on that. My colleagues are Dr. Jacobs,
19 Dr. Burleson, Dr. Monteiro-Riviere, and Dr.
20 Menne.

21 First, here is the question. It was

141

1 read. Do I need to read that again?

2 So, overall, if we can give you some
3 overall conclusions; and then we'll give you
4 just a little more specific.

5 Overall, we strongly agree that the
6 scientific studies assessing for elicitation
7 will be protective of induction. Now this
8 question really goes towards induction. But I
9 just wanted to add the components to it.

10 Do we need data on induction, then,
11 is more of an important question from a
12 methodological perspective. If it's for a new
13 chemical where there are no data, then the
14 answer is sure, absolutely. However, studies
15 that determine induction thresholds should not
16 be used, we felt, in the risk assessment
17 process.

18 The LLNA test has shown promise as a
19 method to assess potency of chemicals. And
20 with further development and validation, it
21 might be useful for risk assessment in the

142

1 future. But we don't feel from a risk
2 assessment quantitative standpoint that it's
3 at that point now.

4 We do not endorse any particular
5 method for risk assessment. There have been a
6 number that have been proposed. None of them
7 we are recommending at that point.

8 In terms of uncertainty factors, we
9 approached it by asking more general
10 questions. And then we thought that adding
11 numbers would help present our point or at
12 least present some options. And so we've kind
13 of presented both numbers and ideas here.

14 In terms of interspecies and
15 intraspecies, at this point we felt that
16 values between 1 and 10 really depend on
17 experimental design. What was the experiment?
18 What did they do? What was the matrix? You
19 name it. Look at those studies very
20 critically, and then make the assessment for
21 those two uncertainty factors.

1 On the matrix and vehicle, we found
2 this to be kind of an intriguing question.
3 Because depending on the matrix, there may be
4 an exaggeration of exposure such as in LLNA
5 and some patch testing. Therefore, a value
6 should range between from less than 1 to 10.

7 What we mean by that, and there's a
8 couple of examples here. For example, in a
9 study if you have a matrix or a vehicle that's
10 being used that enhances the absorption of a
11 chemical, then that should be taken in
12 consideration; just as if the vehicle or the
13 matrix is something that retards absorption,
14 that should be taken into consideration.

15 For exposure in terms of needing to
16 consider the total dose, it depends on the
17 body site and repeated exposures. Again, from
18 values less than 1 to 10. And again the idea
19 really is case specific and specific related
20 to the actual study in question.

21 If, for example, exposure would be

144

1 on the foot and the test article was in a
2 particularly sensitive, thin area of the skin,
3 we would want that to be considered in the
4 risk assessment process. And vice versa, if
5 the test article was on some tissue that was
6 fairly thick which prevented absorption and
7 exposure was on something thin, we would want
8 that to be considered. So those are the
9 values that we've given.

10 I will just open this up to my
11 colleagues here at the front for any other
12 questions or any other alternative views, if
13 there are any, or any clarification.

14 DR. HEERINGA: If I could ask Kelly
15 to go back to the slide that posts Question 1
16 as it was worded? Thank you very much.

17 Our first secondary discussant is
18 Dr. Jacobs. I don't know if you have
19 anything to add at this point.

20 DR. JACOBS: He said very well what
21 we discussed and came to agreement upon.

1 DR. HEERINGA: Do any of the other
2 -- I know that you worked on this. Do any of
3 the other associate discussants on this
4 particular question have specific comments
5 they'd like to make following Dr. Pleus's?

6 Let me open it up more broadly then
7 to any member of the Panel at this point.

8 MR. JONES: If I could follow up.

9 DR. HEERINGA: Mr. Jim Jones.

10 MR. JONES: Could you go back? I
11 think it's actually go forward one slide or
12 maybe two. That's it.

13 Give us a little bit of elaboration
14 on the last point where the "Panel does not
15 endorse any particular method for risk
16 assessment as it relates to induction." Some
17 further elaboration.

18 DR. PLEUS: Yeah, I think I can.
19 What escapes me are the -- for example, if I
20 can just maybe look at your document quickly.
21 If someone else wants to start, and I'll catch

1 up with my --

2 DR. HEERINGA: Dr. Meade or Dr.
3 Burleson.

4 DR. MEADE: I just wanted to add one
5 point that I saw there that we talked about
6 that didn't get on the slide. And I think Dr.
7 Menne had pointed out the importance of
8 exposure as one of the pieces of data that
9 needs to be included in that, and it failed to
10 make it to our slide.

11 Richard, are you looking for the
12 three methods that were proposed: The 10
13 percent MET, the Gerberick method for using
14 the LLNA, and then the Griem method?

15 DR. PLEUS: Thank you. That's my
16 answer.

17 MR. JONES: If we could get a little
18 bit of insight from the Panel's perspective is
19 there wasn't a consensus around any one of
20 them or all inadequate? A little bit on the
21 failure to come to an endorsement on a

147

1 particular method.

2 DR. PLEUS: I think we found we
3 would go back to the idea of weight of
4 evidence as a way to start to look at that. I
5 don't think there is any one particular
6 assessment process that -- what we are saying
7 is we aren't recommending one over one other
8 one in particular.

9 If we wanted to get into some
10 particular assessment by itself, I think in
11 some cases we had some concern about the
12 process assessment of uncertainty factors and
13 things along that line.

14 What we, I guess, would be proposing
15 -- and I'm looking at my colleagues here -- is
16 I think what we have been do in our work
17 through here is pretty much provide a pathway
18 on the induction methodology, a roadmap, if
19 you will.

20 DR. BURLESON: The discussion as I
21 remember it was we certainly, I think, all

148

1 endorsed the local lymph node assay. It's a
2 well-validated assay. But for example with
3 the Gerberick method, we think it's an
4 excellent starting point for quantitative risk
5 assessment.

6 That's where we thought that more
7 classes of compounds or classes of chemicals
8 needed to be assessed with potency
9 determination in mind. So rather than it
10 being a negative, I think it's actually a
11 positive statement in my mind.

12 DR. HEERINGA: Dr. Bailey.

13 DR. BAILEY: Gary, I didn't know if
14 you wanted to say something about when we were
15 also looking at the Gerberick's method, I
16 believe that that methodology was dealing more
17 or less with personal-care product lines

18 DR. BURLESON: Product specific.

19 DR. BAILEY: Yes, product specific.
20 While the Griem method dealt with more of sort
21 of a hazard identification, looking at

149

1 industrial chemicals or pollutants and so
2 forth.

3 DR. BURLESON: I think the big
4 difference, and certainly, I'm open for
5 correction here, is the uncertainty factors.

6 DR. BAILEY: Right.

7 DR. BURLESON: So the uncertainty
8 factors are the different. But the potency
9 determination that Gerberick had proposed is,
10 I think, the important starting point.

11 And if more classes of compounds are
12 looked at with potency determination in mind,
13 I think there is nothing to indicate that it
14 wouldn't become a useful QRA.

15 DR. HEERINGA: Mr. Jones, does that
16 help?

17 MR. JONES: Yes, thank you.

18 DR. HEERINGA: Please, at any point,
19 the purpose is to try to answer these to our
20 ability and potentially to your satisfaction.

21 MR. JONES: Thank you.

150

1 DR. HEERINGA: Any other comments
2 from the Panel on response to Question No. 1?
3 Again, this specifically deals with the
4 induction phase and in a general sense as a
5 method of assessing for dermal sensitivity.

6 DR. BURLESON: I think just to
7 emphasize that at least I think it was a very
8 positive feeling rather than a non-
9 endorsement, negative feeling.

10 DR. HEERINGA: Let me also mention
11 to the Panel, make sure that the report of the
12 Panel, which will include our recommendations
13 and response to the question, that anything we
14 want to incorporate should be said publicly
15 here in this meeting. I know you realize
16 that, and it's just as a reminder. Because if
17 there are small points or pieces of
18 information you want to be sure to include in
19 the final minutes of this proceeding, they
20 should be discussed.

21 Dr. Meade.

151

1 DR. MEADE: I was going to bring
2 this up in the next question but maybe since
3 your comment, it should be brought up here as
4 it relates to induction, too.

5 I think one of the major things that
6 we came away with was not than we didn't
7 endorse methods, again, as having good
8 potential; but that we don't feel that
9 sufficient data has been collected for either
10 of them, any of the three of them, to accept
11 them as stand-alone validated methods to move
12 forward in risk assessment at this point.

13 DR. HEERINGA: Thank you, Dr. Pleus.

14 Any other comments or contributions
15 on response to Question No. 1? I suspect some
16 of this we will go through again in response
17 to Question No. 2.

18 Dr. McMahon, if you would like to
19 read Question No. 2 for us.

20 DR. MCMAHON: Our second question
21 deals with the similar sort of aspect but with

152

1 respect to elicitation thresholds. And as you
2 have seen, we had proposed a few methods for
3 consideration. One, again, by Griem and the
4 minimum elicitation threshold approach that
5 used human data.

6 So our Question No. 2 is similar in
7 nature to our Question No. 1. And I think you
8 have part of it up there on the screen. I can
9 read what you have.

10 That what are the strengths and
11 weaknesses of the proposed quantitative
12 approaches for determination of elicitation
13 thresholds to dermal sensitizing chemicals?
14 And, further, I think this question -- thank
15 you.

16 DR. HEERINGA: Why don't you read
17 the entire thing into the record?

18 DR. MCMAHON: So I've read the first
19 part.

20 What other approaches does the Panel
21 recommend that EPA consider? Which

153

1 uncertainty factors does the Panel feel are
2 the most appropriate for application to
3 quantitative methods of elicitation threshold
4 determination? And what factors should be
5 included in the determination of the magnitude
6 of each uncertainty factor?

7 DR. HEERINGA: Thank you very much.

8 Dr. Meade is the primary discussant
9 on this.

10 DR. MEADE: And the other
11 discussants in our group were Dr. Bailey, Dr.
12 Siegel, and Dr. Chew.

13 When we first looked at the first
14 portion of the question, the strengths and the
15 weaknesses related to the quantitative
16 assessment, we discussed the strengths. And
17 we felt that one of the strengths was that
18 both the MET approach, which is based on the
19 human data, and the local lymph node approach,
20 which are both the Gerberick and the Griem
21 approaches, use a mass/area as their dosing

154

1 regimen which for sensitization is
2 appropriate. So we have that strength for
3 both.

4 For the human data, a strength would
5 be that, obviously, we don't have to have an
6 uncertainty factor for interspecies
7 correction. So we don't have to deal with
8 issues of going from animals to man if,
9 indeed, we have human data available and can
10 utilize that data.

11 For the limited number of chemicals.
12 And this was a strength both for the animal
13 studies and for the human studies for the very
14 limited number of chemicals where we have
15 human and animal data available. There was a
16 good correlation. So the animal supported the
17 human. The human supported the animal. So
18 those were the things that we thought were the
19 strengths of these three approaches to risk
20 assessment.

21 The weaknesses that we pointed out

155

1 were that four of the two approaches that use
2 the local lymph node assay, especially for the
3 elicitation response in risk assessment, we
4 just don't have enough data at this point.
5 The assays have not been sufficiently
6 developed. And we feel as though that this is
7 in the pipeline, and that very likely in the
8 future this may be possible. But at this
9 point, we don't think that it's ready to be
10 used for this assay.

11 As far as the MET approach goes, the
12 minimal elicitable threshold approach, using
13 the human data from patch-test data, there
14 were some questions related to the methodology
15 in this. And that is that some of this data
16 we're going back -- and Dr. Maibach drew
17 attention to this yesterday in talking about
18 old patch-test data.

19 When you go back into the
20 literature, there may be questions related to
21 subjectivity and how the scoring was done.

156

1 There may be papers where we don't have
2 sufficient information on the method of
3 occlusion or the actual area of dosing that
4 was conduct. So there are somewhat nebulous
5 data out there that we have to be cautious in
6 terms of utilizing it.

7 The skin conditions of the humans,
8 the vehicle matrix that's used for the patch
9 testing, and then also that there needed to be
10 adjustment for sampling variability used to
11 estimate the MET. But that this could very
12 likely be addressed statistically by using the
13 standard confidence limit bounds.

14 So what we're talking about here is,
15 if you have a small sample size in your patch
16 test, how do you know if you're setting your
17 standards based on 10 percent that your sample
18 population is a good representation of the
19 human population that you're trying to
20 protect. But that if you statistically use
21 confidence intervals, you should be able to

157

1 account for that.

2 And we'll talk about this, these
3 uncertainty factors. Also, there are issues
4 related again to selecting that population to
5 patch test and whether uncertainty factors
6 need to be added because of that.

7 And then the obvious, the MET
8 approach, is going to be difficult to use for
9 new chemicals because of ethical relations
10 related to patch-testing humans. So we'll be
11 limited somewhat in terms of what we can use
12 there.

13 And there was also a question raised
14 in some of the literature that, if you do
15 sensitize people in using the human repeat
16 insult patch test, whether they are really the
17 appropriate group to then go back and test
18 elicitation responses in because of the manner
19 in which they were sensitized. They may not
20 be representative of the population in
21 general.

1 So for elicitation, the MET approach
2 is most likely going to be limited to
3 chemicals that already out there and we have a
4 sensitized population.

5 The next question was: What other
6 approaches does the Panel recommend that the
7 EPA consider?

8 Well, sorry, this one is blank. We
9 didn't come up with any novel approaches. It
10 seems like the ones that are already in the
11 pipeline need further work.

12 So our recommendation to you would
13 be to support those efforts.

14 Which uncertainty factors does the
15 Panel feel are most appropriate for
16 application to quantitative methods for
17 elicitation?

18 Intraspecies we feel is something
19 that needs to be looked at. Repeat exposures
20 and vehicle matrix.

21 And when we talk about the magnitude

159

1 of the uncertainty factors for these, well --
2 I hope that comes up. (Technical
3 difficulties.)

4 Well, we can go on, I guess, without
5 the slides.

6 For the intraspecies factor, we felt
7 that this needed to be related to the number
8 of people that patch-tested in relationship to
9 the percentage of the individuals that may be
10 sensitized and take into account the quality
11 of the patch-test data. So were the patch
12 tests conducted by standardized methodology?
13 Certainly for the newer literature.

14 Now that we have TRUE Test, we have
15 more specific guidelines from associations on
16 how to score these tests. But there are
17 different scoring systems, it's my
18 understanding, from talking with the
19 dermatologists. Different dermatologists may
20 use different scoring systems, and we need
21 somehow to harmonize the scoring system if we

160

1 want to use this in a quantitative risk
2 assessment.

3 The main point that we wanted to
4 make, that is, for all of these uncertainty
5 factors, it's imperative that the magnitude be
6 assessed on a case-by-case basis because
7 they're going to vary very much dependent on
8 the particular chemical and the use of that
9 chemical.

10 So I think we've covered the
11 intraspecies factor there. Also under the
12 interspecies information related to when the
13 patch testing was done, the site of exposure,
14 and the condition of the exposed skin could
15 cause for variability in the response.

16 Under exposure factors, we think
17 that one should take into account the use of
18 the chemical, the expected duration and
19 repetitiveness of exposure, and the potential
20 for occlusion.

21 So, you know, in the case of Cr(VI)

161

1 in treated wood, one would put a very
2 different exposure factor to that than Cr(VI)
3 in cement. So there's a big difference in how
4 you're going to assess rubbing up against
5 decking versus standing in fluid cement
6 mixture.

7 And then likewise on the vehicle
8 matrix, some of the same things that Rich
9 introduced when he was talking about the
10 induction of sensitization. The effects need
11 to be considered on the nature of the matrix.
12 Is it an irritating matrix? If so, one might
13 need a higher uncertainty factor.

14 Does it contain penetration
15 enhancers? And also the bioavailability of
16 the chemical in the matrix. There are
17 certainly some matrices where the recommend
18 chemical would rather stay in the vehicle than
19 depart and move into the body. If it's
20 impregnated in wood, what is the chance that
21 it's going to be bioavailable.

1 Okay. Those were our comments.

2 DR. HEERINGA: I'd like to ask if
3 any of the associate discussants would like to
4 add comments to Dr. Meade's summary? Dr.
5 Siegel.

6 DR. SIEGEL: I'd just like to
7 expound on some of the subjective nature for
8 the patch testing that we have talked about.

9 In some of these patch tests, the
10 TRUE Test, you have the sometimes mixtures or
11 some of the patch tests you're tested along
12 with other chemicals. So that can play a role
13 into looking at some of these factors. If you
14 titrate out where you consider where the
15 different investigators consider the cutoffs
16 as well as what one investigator would read as
17 a positive versus another.

18 As you titrate out, you're
19 increasing the variability in the reading in
20 what you would call a positive test as you go
21 down -- the one thing that really we discussed

163

1 about -- and there are no statistics on
2 variability from reader to reader. And so
3 this is somewhat of a subjective test.

4 And I just wanted to make sure that
5 that was pointed out.

6 DR. HEERINGA: Do any of the other
7 associate discussants or any of the other
8 Panel members have anything they would like to
9 add on this question?

10 Dr. McMahon, Dr. Chen, have you
11 questions? Is this clear? Or do you need
12 follow up?

13 DR. MCMAHON: Yes, we think the
14 response has been clear.

15 DR. HEERINGA: At this point in
16 time, Dr. McMahon, I think that you have the
17 text of Question 3 in front of you. Just read
18 that, please.

19 DR. MCMAHON: Yes, I do.

20 Question 3 relates to the potential
21 sensitivity of children. And this question

164

1 reads: Does the Panel agree that the
2 available scientific data suggest no
3 significant difference in the relative
4 sensitivity of children versus adults to the
5 induction and/or elicitation of allergic
6 contact dermatitis? If so, please provide
7 scientific justification for the position.

8 If the Panel disagrees, please
9 provide scientific justification including
10 supporting data and/or uncertainties in the
11 explanation.

12 DR. HEERINGA: And our primary
13 discussant on this is Dr. Foulds.

14 DR. FOULDS: Thank you, Mr.
15 Chairman.

16 Can I also say it's an honor for me
17 to be here representing American dermatology.
18 I'm not quite sure why there isn't an American
19 dermatologist. But, hopefully, I can put a
20 universal perspective on it.

21 I'm no Einstein; but, hopefully,

1 what will I say will have a practical purpose.
2 I may have sort of deviated slightly from the
3 question. Because as you saw with Howard
4 yesterday, he was able to talk. I won't be
5 talking for quite so long. But if I can have
6 the next slide please.

7 There were a few issues that I
8 wanted just to sort of bring to everybody's
9 attention. And I apologize if a little bit of
10 this is sort of anecdotal.

11 Looking back at my figures over the
12 last sort of 18 months or 1,084 pack tests, we
13 had 66 positives to potassium dichromate.
14 That's testing at .5 percent. That's about 3
15 percent of all patients tested. That sort of
16 compares not too dissimilar to the North
17 American figure 2.4 percent that we heard
18 about yesterday. It's not as high as the 5.9
19 percent that were shown in the paper -- I've
20 forgotten the author you gave me from Kansas.

21 But that 5.9 percent where you were

166

1 worried about increasing levels of
2 concentration perhaps is due to the
3 selectivity of that population that was
4 studied where there was a high proportion of
5 people working in the construction industry.
6 And, also, it's quite a small population study
7 that was actually examined. And that may
8 explain that slight sort of blip in the
9 increased figures. And certainly I don't
10 think our figures are too dissimilar from the
11 North American figures.

12 Breaking that down, as roughly an
13 equal sex incidence in the patients that I've
14 seen, and that doesn't seem to be too
15 dissimilar from the North American experience.

16 Looking at the age range, these are
17 the age range of the patients of my
18 dichromate-sensitive patients, age 24 to 86.
19 No children. Does that mean that children
20 can't be sensitized? Well, the answer to that
21 is no.

1 We talked a little bit about
2 relevance yesterday. Relevance is difficult.
3 I always like to feel that I get closer to a
4 hundred percent, but I'm probably sort of
5 optimistic in my sort of relevance. We still
6 have a lot of metal machining around in
7 Birmingham. So there is quite a lot of high
8 chromate exposure which is leached into metal.

9 We have quite a high proportion in
10 chrome plating industry. There's also the
11 construction industry. And there's a lot of
12 corrosion inhibitors used to prevent rusting
13 of metal which contain chrom as well. We do
14 see a fair number of positives.

15 We also see quite a lot of
16 coexisting reactions with nickel, cobalt, and
17 chromate particularly in the females. And
18 although chromate isn't in costume jewelry,
19 this cross-reaction does seem to occur. I was
20 interested to learn from Torkil Menne that
21 since nickel has been banned in costume

1 jewelry, they've not seen this sort of triad
2 of reactions coming up with nickel, cobalt,
3 and chromate and that chromate has actually
4 reduced in sensitivity along with the
5 reduction in nickel. And Torkil may want to
6 comment further upon that.

7 So we can argue about relevance.
8 Certainly when there's a solitary dichromate
9 reaction, I think you can usually find
10 relevance. Now that then comes to
11 interpretation because many people will have
12 an erythematous reaction on their back when
13 they're patch-tested. And perhaps 10 or 12
14 percent of people will have a degree of
15 erythema underneath the chromate reaction.

16 I think you've picked quite a
17 difficult substance to evaluate when you're
18 trying to extrapolate it into some of the
19 testing situations because it is so close to
20 urgent levels and can give a fair number of
21 false positive irritant reactions.

1 I always reckon it takes me six
2 months to train one of my registrars to learn
3 how to interpret patch tests. And chromate
4 patch-test reactions are one of the more
5 difficult ones from an interpretation point of
6 view.

7 So it doesn't mean that children
8 can't react to dichromate. My answer to that
9 would be no. Even so, I haven't had any
10 positive reactions in children because it
11 really depends on the study population you're
12 looking at and what sort of exposure that
13 population actually has.

14 The threshold for patch testing
15 amongst all dermatologists is very different.
16 Some of us may have a much lower threshold for
17 patch testing a child. Others of us -- and
18 that's myself included. It takes me quite a
19 lot to want to actually patch test a child. I
20 will try anything possible first before patch
21 testing.

1 Various reasons. Lack of space on
2 the backs to get your hundred different
3 allergens on it you wanted to do that.
4 Otherwise, you're going to be very selective
5 in what you're testing. And if you're going
6 to be that selective, maybe you know the
7 answer already and you don't need to patch
8 test them in the first place.

9 Plus the fact that it is a little
10 bit of discomfort. There's problems with
11 keeping the patches in place for the duration
12 of the readings. So from a practical point of
13 view, that's going to act as a disincentive.

14 With an adult, I have a very low
15 threshold for patch testing. Anything that's
16 persistent and is going on, well, why not
17 patch test and maybe we'll find an answer and
18 then we can find a cure. So perhaps instance
19 with increasing age of relevant positives in
20 patch tests will diminish with increasing age.
21 And that's why some of the studies where we've

171

1 seen higher instances in children is because
2 we're much more selective in the children that
3 we actually put through the patch-testing
4 situation.

5 So for example, if you've got a
6 child who's got nickel sensitivity and maybe a
7 rash under a watch strap or under their
8 earring, you're probably not going to patch
9 test them because you know the answer.

10 If you've got a teenage who's got a
11 rash under their belt buckle, they're not
12 going to listen to you until you patch test
13 them and show a positive reaction which will
14 convenience them they're actually allergic.
15 Telling them to take the buckle off is a waste
16 of time. Another reason which can influence
17 why you patch test in the first place.

18 So perhaps a child with a persistent
19 hand dermatitis that's not getting better with
20 treatment, with everything you're trying to do
21 with avoidance methods, you're then going to

1 finish up patch testing them.

2 However, there are studies where
3 people have deliberately gone about
4 patch-testing children which have given more
5 accurate figures as to instance.

6 So when I said we didn't get any
7 chromate positive, that's not that I didn't
8 patch test any children. There are children
9 in that thousand. Not a huge number of
10 children, but a number of people being
11 patch-tested. Eighteen positive relevant
12 patches. That's probably two-thirds were
13 relevant. So that's quite a high pickup.

14 That's because of the selective
15 population we were going for in the first
16 place. And if we look at their reactions, we
17 got nickel, thiurams, paraphenylenediamine.
18 It's probably the commonest sensitizer that
19 I'm seeing at the present time in children.
20 We'll come back to that.

21 We have talked a lot about patch-

173

1 test reactions. Hopefully, you can see
2 there's a filthy, great big blister on this
3 person's back. It's not a child. But I don't
4 think most people would have too much
5 difficulty interpreting that as a fairly nasty
6 reaction and probably a relevant positive
7 patch test particularly when we know the
8 chemical paraphenylenediamine doesn't tend to
9 cause false positive reactions.

10 Now we're getting a bit more
11 blurred. And it's probably quite useful to be
12 blurred at this stage because then it is how
13 do you interpret these sort of patch tests.
14 If you look, I've graded these from A to E is
15 supposed. They get less and less and less in
16 the severity of reaction. I know it's sort of
17 vague on the projection here.

18 On the laptop, it looks very clear
19 that A is sort of infiltrating and there's
20 little blisters. And that's very easy to
21 interpret. If we're then talking about B, you

174

1 can see that there is probably a little bit a
2 erythema there.

3 Now, in fact, it's a potassium
4 dichromate reaction; is that a genuine
5 positive? The answer to that: It probably
6 isn't a positive unless you're doing a MET
7 test looking for elicitation thresholds in
8 which case then the redness that you will see
9 could be interpreted as a positive irritation.
10 But that doesn't necessarily equate to an
11 allergic reaction.

12 The point I'm trying to make is that
13 there is science behind patch testing.
14 There's an awful lot involved when it comes to
15 the interpretation. And what you interpret
16 from one person's readings to another person's
17 can be difficult. Even with multi-center
18 studies, it can be difficult where there are
19 different people interpreting reactions.

20 And it can also be difficult
21 depending on the number of patch tests that

175

1 are going through a center at a particular
2 time. The more patients going through a
3 center, the more each individual patient acts
4 as a control for the next patient going
5 through. By that I mean if you're testing 20
6 or 30 people a year or 600, 20 or 30 people a
7 year, allergen deteriorating -- perhaps it's
8 got a concentrated allergen or whether or not
9 it is a genuine positive. I'm just throwing
10 these up here as difficults from an
11 interpretation point of view.

12 So what really is the evidence that
13 there is a difference in allergic contact
14 dermatitis in children against adults? Or can
15 children be sensitized? Well, we've answered
16 that partially. How common is it in children?
17 What about years of exposure? How relevant is
18 that? And can one exposure to an allergen be
19 sufficient to cause induction? We heard a
20 little bit about that yesterday.

21 So can the children be sensitized?

176

1 And there's quite a number of published
2 studies citing detection of allergic contact
3 dermatitis in children. We can go back to
4 historical studies on this.

5 Back in 1981 was one of the first
6 early reviews from Niels Hjorth who, amongst
7 others, quoted a study from Malmo where 118
8 children were tested and 32 percent of these
9 children had positive reactions. So we know,
10 therefore, that allergic contact reactions can
11 occur even as far back as 1975.

12 We heard yesterday from Howard about
13 the Epstein study with the poison ivy and the
14 difference in age range and sensitization. So
15 we know that children can be sensitized.
16 Perhaps there's a suggestion from this that
17 the younger you are, the less likely you are
18 to become sensitized.

19 With this sort of universal allergen
20 within the environment, it's always difficult
21 to know what environmental effects this may

177

1 have on the increased rate of sensitization
2 with increasing age. I'm not sure you can
3 extrapolate this in the same way as you
4 perhaps could with other allergens that you
5 may not be exposed to in an everyday
6 environment.

7 So the environmental exposure may be
8 relevant to this, but it does raise a few
9 questions, particularly in the very young age
10 group under the age of one as to whether or
11 not that can cause -- are they immunologically
12 as competent to develop a positive reaction?

13 We also heard yesterday about the
14 Wohrl study. That was a bit like me quoting
15 figures. It was consecutive patch tests. And
16 it was really looking at all the patients
17 coming through. And if you looked at this, it
18 would suggest that there was a high rate of
19 instance in the very young and a lower
20 instance in the very old.

21 But, hopefully, I've answered that

178

1 with my own evidence. It depends on what your
2 threshold is for patch testing at different
3 age ranges in the first place. So I don't
4 think that can be extrapolated to mean that
5 children get more in the way of allergic
6 contact dermatitis than adults. It's the
7 selectivity in the first place.

8 Another study, perhaps more
9 population-based, from Klaus Anderson, looked
10 at 1000 school children aged 10 to 14 years,
11 patch tested them, and found that 15 percent
12 gave positive patch test reactions. Now
13 that's not too dissimilar from population
14 studies in the adult population. So for this
15 age range, there doesn't seem to be a big
16 different in sensitivity rates.

17 So is there any evidence to suggest
18 that children with atopic dermatitis, which we
19 talked a little bit about yesterday, are more
20 likely to suffer from allergic contact
21 dermatitis? And the answer to that is no.

179

1 There's plenty of published evidence to
2 support that. And there is evidence to
3 suggest, as we heard yesterday, that those
4 children suffering from atopic dermatitis are,
5 in fact, less likely to develop allergic
6 contact dermatitis in the first place. And
7 there are many published studies which we can
8 provide to support that.

9 So we conclude from the atopic
10 dermatitis, which may be a worry for children
11 who have active skin disease, that if they
12 were exposed to chromate in treated woods,
13 even if it's with exposure on damaged skin,
14 that from all the published evidence from
15 atopic dermatitis that there should be no
16 increased risk for these children even with
17 atopic skin disease.

18 Just going back to what is the
19 evidence that there is a difference for
20 allergic contact dermatitis in children as
21 adverse to adults.

1 One thought that has sort of come to
2 me is that with THiomERSAL sensitivity, there
3 has been a study looking at the instance of
4 THiomERSAL-positive reactions in Swedish
5 recruits. I can't remember the exact numbers.
6 But 11 percent of army recruits patch-tested
7 showed a positive reaction to THiomERSAL.

8 Now, THiomERSAL is a preservative
9 used in the triple vaccine. And sensitivity
10 to THiomERSAL is usually attributed to being
11 immunized as a youngster usually within the
12 first 18 months of life. Therefore, exposure
13 at that time can induce sensitization which
14 then remains lifelong.

15 What problem does that cause? It
16 causes a bit of a swollen arm if somebody is
17 then immunized with a vaccine containing
18 THiomERSAL. But the message from is that
19 THiomERSAL sensitivity is common in the normal
20 adult population, thought to be attributed to
21 immunization as a youngster. And, therefore,

181

1 when you're immunized to a potential
2 sensitizer within the first 18 months of life,
3 it's perfectly possible to induce sensitivity
4 at this stage.

5 We talked a little bit about it
6 yesterday. Could one single exposure induce
7 sensitization? We heard quite a lot about the
8 sort of safety factors with patch testing that
9 with 60,000 patch tests performed with the
10 TRUE Test, that there were no reports of
11 chromate sensitivity occurring as a result of
12 late sensitization.

13 And one of the problems with this
14 type of data is that there have not been any
15 formal studies looking at active sensitization
16 from patch testing. Most of it is dependent
17 on voluntary reporting and feedback when
18 problems occur. In other words, if somebody
19 has been patch-tested and nothing comes up and
20 then suddenly three or four weeks later a red,
21 itchy area appears on the back, that will

182

1 usually induce somebody to bring that to
2 somebody's attention. But not always so.

3 So late reactions can occur.

4 Induction sensitivity can occur. And does it
5 occur in children?

6 Looking at reactions that I've seen
7 coming up from people who have been
8 patch-tested and then report back subsequently
9 on the reactions that they've seen,
10 paraphenylenediamine is the commonest active
11 sensitizer with patch testing. Four out of a
12 thousand were with some sensitization. And in
13 practice, less than 1 percent of people
14 patch-tested will develop active sensitization
15 from the patch testing.

16 And it's always sort of said, well,
17 should you be patch testing people in the
18 first place if a small percentage will get
19 active sensitization. Our answer to that is
20 you're going to pick up more people than
21 you're going to sensitize in the first place;

183

1 that if you don't patch test, you're not going
2 to find the answers; and, therefore, the
3 benefits outweigh the risks.

4 From a practical point of view,
5 although I was making comments about the
6 60,000 people tested, I have never seen a
7 positive chromate coming as a result of active
8 sensitization from the patch test situation.
9 And Torkil Menne would concur with that. And
10 that's in all our years of experience.

11 So from an active sensitization
12 point of view, chromate doesn't seem to be a
13 problem. And I've never seen active
14 sensitization from chromate from patch testing
15 in a child.

16 Just reinforcing about can one
17 exposure induce sensitization. Henna tattoos
18 are become quite popular in children. Of
19 course, it's not really a henna tatoo. It's
20 not the henna that causes the problem. It's
21 the black die that they put into it that

184

1 causes the problem, which is
2 paraphenylenediamine. We patch test at 1
3 percent. Some of these tattoos, so-called
4 "temporary tattoo," contain
5 paraphenylenediamine at 20 percent. And it's
6 quite a popular thing for children when they
7 go off to the Mediterranean to go and see
8 everybody lining up to have these temporary
9 tattoos performed on them. I'm not sure
10 whether it happens in the States or not, or
11 it's only the Mediterranean.

12 And then come back from their
13 holiday with and a sort of permanent nice mark
14 on their arm and a sensitization from a
15 solitary exposure. And this is a
16 ten-year-old's arm who had the patch test.

17 And then on the next slide, the
18 brother also had the same procedure performed
19 at the same time. He developed a slightly
20 less florid sensitization reaction which left
21 his mark on his skin.

1 So from that point of view, I'd say
2 that children can be actively sensitized. And
3 I've certainly seen it as young as the age of
4 four being actively sensitized from
5 paraphenylenediamine.

6 With the current levels of chrome
7 that there are in the environment, chromate
8 sensitivity in children is rare. In fact,
9 some people would say it's extremely rare.
10 And in my experience, it is rare. I have seen
11 it. But not in the last 1000 cases that we've
12 tested.

13 You could say that children,
14 however, have been for years exposed to
15 chromate in treated woods. We can argue about
16 whether it's CCA or ACC. These products have
17 been used worldwide. In some countries, the
18 ACC, I believe, in the Netherlands is in high
19 usage. CCA is well used and still used in
20 Scandinavia and in the UK.

21 And there are no reports in the

1 literature of either sensitization occurring
2 in children or adults from this means of
3 exposure, either inducing sensitization or
4 eliciting a dermatitis in a sensitized child.

5 If I'm looking at my own sort of
6 practice, that's about a thousand patients
7 being tested every year. And that's 6,000 new
8 patients that I'm responsible for general
9 dermatology problems. And also that I receive
10 referrals from about 30 other dermatologists
11 in the West Midlands who are sort of worried.
12 When they want people to be patch tested, they
13 refer them to me.

14 I have to say, how many cases have I
15 seen of sensitization being attributed to
16 treated woods? And the answer to that is
17 none, not in 25 years.

18 How many indications have I seen of
19 elicitation being attributable to treated
20 wood? The answer to that is one. And that
21 was a patient three or four months ago that I

187

1 saw who was a man who had worked in
2 construction all his life; had been in cement;
3 had developed a dermatitis; gave it up;
4 changed careers; and went into a
5 timber-treatment yard; and within a couple of
6 months, developed problems on his arms where
7 he was actually lifting the bundles off the
8 freshly wet treated wood.

9 Therefore, he had exposure to
10 chromium which then resulted in a recurrence
11 and an elicitation of his dermatitis. That
12 did not cause a sensitization. It elicited
13 the reaction in a previously sensitized
14 individual.

15 And the reason I mention this in the
16 child context is trying to get some sort of
17 perspective back on what the clinical problem
18 is at the present time and what the clinical
19 problem might be in the future.

20 Now, I'm getting a little sort of --
21 this is not a consensus view. This is my

188

1 view. So, hopefully, we can throw this up for
2 discussion. If I was asked this sort of
3 question for applying the criteria of chromate
4 reactions that we've seen in children to the
5 same sort of question, well, would you use
6 that as a preservative in a leave-on product
7 for use in children, would it be banned? The
8 answer to that probably would be no.

9 When I say leave, it would have been
10 a wash-off product, by the way. Applying the
11 same criteria to wash-off products, I would be
12 recommending perhaps allowing it but
13 monitoring any potential reactions that might
14 occur. In other words, if it was shown to
15 cause a problem then I would be recommending
16 that we need to take some action that these
17 reactions are reversible for the individual
18 once we've identified the problem and the
19 products can either been withdrawn or they can
20 be modified.

21 I wouldn't say dermatologically

189

1 approved to the product. I would put a
2 dermatologically approved on the wood product.
3 I won't say that the wood was hypoallergenic.
4 I wouldn't say this problem was
5 hypoallergenic. But it wouldn't stop me from
6 using a particular product as a preservative
7 within perhaps a shampoo in a young child.
8 But I would be monitoring the situation.

9 And that's sort of anecdotal and
10 personal. But we can come back to it later
11 on.

12 I've still got concerns as to what
13 we're actually measuring here, and how we're
14 going about it. We talked about mass/unit
15 area of the available sensitizer. And I think
16 it's important when we're looking at this
17 whole issue is how much massive product
18 unit/area is going to be available to either
19 worry about sensitization in the first place
20 in children or to cause elicitation
21 potentially in children.

1 The duration of contact has to be
2 important as well. And we can argue as to how
3 much duration or contact is likely or how much
4 cumulative contact is likely.

5 We've also mentioned about the site
6 of contact. And palms and soles of feet for
7 most of us are going to be, or for most
8 children would be, the predominant source of
9 contact, either from hanging off a bar or
10 walking across the sort of bare timber
11 decking. And I have less concerns about that
12 from a clinical point of view because of the
13 thickness of the epidermis and the relative
14 difficulty of the allergen being able to
15 penetrate.

16 You can look at the same example
17 with nickel. We all handle nickel every day.
18 And I suppose the Euro coin is the sort of
19 example of a really high source of nickel.
20 And yet most people don't become sensitized
21 through that route. It's usually from other

191

1 sites that historically nickel has caused the
2 problem. So the site of contact is different,
3 as in the States that everybody likes to lie
4 naked on their decks and particularly when
5 it's wet and when it's been raining. Maybe
6 that is more relevant, and there's a point
7 that I'm missing somewhere.

8 DR. HEERINGA: You found us out
9 here.

10 DR. FOULDS: Usually, in Scotland,
11 we wear waterproofs and things in that
12 situation.

13 And then, of course, we talked quite
14 a bit about the modifying factors. And I
15 think I've still got a few problems with how
16 we extrapolate the studies with the real
17 situation as to what the local pH is and what
18 effect sweat may have.

19 And one thing we haven't mentioned
20 is what about sun exposure reducing
21 sensitization. Well, sun exposure does. It

192

1 reduces the ability to elicit reactions. We
2 don't patch test people if they've exposed
3 their back within the last fortnight because
4 that can suppress reactions. It can reduce
5 induction in the first place.

6 And I'm presuming that most people
7 who are going out on the decks are going to
8 pick a nice, sunny day for doing it. And from
9 that point of view, I would say that that
10 actually reduces the potential for
11 sensitization in the first place. So these
12 are issues that I'm raising, and that we might
13 like to discuss further.

14 Getting back to the question that
15 we're asked if I can have the next slide.

16 The consensus view was that we agree
17 that there is no significant difference in the
18 sensitivity of children versus adults to the
19 induction or elicitation of allergic contact
20 dermatitis. And, hopefully, some of the
21 background I've put on that will give you some

193

1 of the justification for that and the fact
2 that you do get different instances from
3 different studies.

4 And there is an adequate data base
5 on the subject, including several reviews in
6 the last 10 years to support this evidence and
7 that we can provide that evidence from those
8 studies which we can provide to the
9 Environmental Protection Agency.

10 So I'm sorry that I've only spent
11 half the time that Howard spent. But
12 actually, I think I spent longer than I was
13 going to spend. So apologies for that, and
14 thank you for your patience.

15 DR. HEERINGA: Thank you very much,
16 Dr. Foulds. I'd like to turn to associate
17 discussants on this particular question and
18 see if there are any additional comments that
19 they would like to add at this point. Dr.
20 Menne?

21 DR. MENNE: I'm very happy with it.

1 DR. HEERINGA: Dr. Hayes.

2 DR. HAYES: How could we say
3 anything?

4 DR. HEERINGA: Dr. Jacobs.

5 DR. JACOBS: It was the simplest
6 question.

7 DR. HEERINGA: Very good.

8 May I request the scientists from
9 the EPA if everything was clear, if there are
10 any follow-up questions of Dr. Foulds?

11 DR. MCMAHON: No. We have no
12 follow-up questions, and the answer was clear
13 to us. Thank you.

14 DR. HEERINGA: Thank you. At this
15 point in time, I think we would like to move
16 on to Question No. 4 which is the final
17 question in the serious of charge questions
18 that were placed to the Panel.

19 DR. MENNE: I think that we need a
20 little more time to discuss this in the group,
21 Question 4. We need a about half an hour to

195

1 give it polish.

2 DR. HEERINGA: Okay. I'll take that
3 as a recommendation of the Panel. We're at 2
4 o'clock, and we've made very good progress
5 with the first three questions. I have no
6 doubt that we will finish our deliberations
7 and discussions on this today.

8 What I would like to recommend,
9 being 2 o'clock, that we take a one-half hour
10 break at this point in time and that we would
11 reconvene back here again at 2:30 or slightly
12 after and will continue with Question 4 at
13 that point in time.

14 Thank you very much.

15 [Break taken at 2:01 p.m.]

16 Session resumed at 2:38 p.m.]

17 DR. HEERINGA: And we took an
18 extended break during which a subgroup of the
19 Panel who is focused on Question 4 met in a
20 working session to consolidate their response
21 to this question. And so that consolidated

196

1 response will be presented shortly. So that
2 was the nature of the session, a working group
3 on Question 4.

4 At this point in time, I'd like to
5 ask Dr. McMahon to read Question 4 into the
6 record, please.

7 DR. MCMAHON: Thank you, Dr.
8 Heeringa.

9 Question 4 for the Panel is a
10 question specifically related to chromium. As
11 you've seen, we've shown you data from the
12 murine LLNA test as well as from human patch
13 testing studies that have estimated induction
14 as well as elicitation concentrations for
15 hexavalent chromium.

16 And in our initial assessment of the
17 level of concern, we had decided to use the
18 Nethercott study from 1994 with the lowest
19 dose tested in that study of .018 ug/cm². The
20 uncertainty factor of 10-fold for our interim
21 purposes of calculation of a safe area dose of

197

1 .0017 ug/cm2.

2 So based on the data that we have
3 and that we've presented, our question to the
4 Panel is: To please comment on the methods
5 used for derivation of safe area doses using
6 the available LLNA in the human patch test,
7 including the magnitude of the applied
8 uncertainty factors and include a scientific
9 rationale in support of your position. Please
10 comment on whether it is scientifically
11 supportable to derive separate safe area doses
12 for protection against induction of dermal
13 sensitization as well as elicitation in
14 sensitized individuals by hexavalent chromium.

15 DR. HEERINGA: Dr. Menne will be our
16 primary discussant for this particular
17 response.

18 DR. MENNE: Thank you very much.
19 I'd also like to express my gratitude in being
20 here. It's been a great experience. And I
21 really think that I have a lot of things to

198

1 take back to Europe and explain how to work in
2 such regulatory affairs. And particularly
3 this very open discussion is not a habit which
4 we have on the other side of the Atlantic. So
5 I congratulate you with this process, and I
6 think it's extremely valuable.

7 And the question is: Can we use
8 patch testing and can we use LLNA in the
9 prevention of allergic contact dermatitis?
10 And why not use sophisticated methods of DNA
11 arrays and different in vitro tests,
12 pseudotransformation tests, et cetera?

13 Is it because dermatologists are so
14 stupid, or how can it be? I would say that
15 the methods we used, actually as Howard said
16 yesterday, invented by Jadassohn 105 years
17 ago, and we still like to use them. And why
18 do we still use them?

19 I will say for the up-coming meeting
20 in the European Society of Contact Dermatitis,
21 there will be two papers on the use of DNA

1 micro-array methods in the evaluation of
2 allergic contact dermatitis. And one of them
3 will be on chromate dermatitis. So we try to
4 keep up. And all the years, there has been a
5 lot of studies in immunology, and there's been
6 a lot of attempts to develop in vitro methods.

7 And, yes, they are in the journals;
8 they are in the textbooks. But then when we
9 come down to earth to our daily practices, our
10 patch testing is working wonderfully in
11 relation to the diagnostic development in the
12 patients.

13 And I think you should all realize
14 that we have quite a fantastic tool in the
15 patch test. Because with the patch test, we
16 have a direct connection between exposure to a
17 chemical and a test in the humans which is
18 very cheap, easy to perform, that can tell us
19 that the person has developed an allergy and
20 is at a risk developing the disease, allergic
21 contact dermatitis, if you continue the

1 exposure. And of those people testing, we can
2 give the explanation for a disease in 50
3 percent of the cases. That's quite unique for
4 a test in medical science.

5 This example compared with a
6 carcinogens. Do we have any human test that
7 can predict that the patients will have lung
8 cancer in 10 years time? No. And I can make
9 many other comparisons. So this is quite a
10 unique test that the dermatologist have
11 developed. I agree it's old, but we love it.
12 And we will probably continue to use it in the
13 individual patients.

14 Another aspect example, can it be
15 used in preventative medicine and can it be
16 used in predicting levels of exposure, will it
17 be safe for the population? And as several
18 examples, and for an example, there is in
19 Europe a regulation of cement with hexavalent
20 chromate. And the regulation is that
21 hexavalent soluble chromate should be below 2

201

1 ppm. And in those countries who have
2 introduced this legislation, we don't have
3 chromate dermatitis in the building industry.
4 And earlier we had a high number, up to 10
5 percent, of those working in this industry
6 sensitized.

7 If you make a comparison between the
8 building of the Channel Tunnel from France to
9 Britain, they had several hundred cases of
10 allergic contact dermatitis to chromate. In
11 Denmark where we have similar projects between
12 Sweden and Denmark between our islands, which
13 have actually employed a larger number of
14 individuals, we have used this reduced type of
15 cement. And there has not been recorded of a
16 single case of chromate sensitization in this
17 very large population.

18 A similar example, nickel
19 dermatitis. This is has been regulated in
20 Europe in the same matter. It's based on
21 human studies, human elicitation thresholds.

202

1 And based on this, there's a border on the
2 release of nickel for being in prolonged skin
3 contact of 0.5 ug/cm². And this has been
4 extremely effective. And young girls now
5 don't have nickel dermatitis. And in Europe,
6 this is an phenomenon of the past. And
7 earlier it was 15 percent of the population
8 who were sensitized to this item.

9 So with the patch test and what we
10 can do with different types of modification of
11 this, we have a powerful diagnostic tool. And
12 we have also a tool that can be used for
13 determination of elicitation thresholds. This
14 has been successful in regulatory purposes.

15 And we had already seen the
16 questions. And I will now try to answer it on
17 behalf of our group. Please, next slide.

18 So what we can say is, that
19 concerning the LLNA data, we have human
20 experience. We have particularly the
21 Nethercott study, so we don't need to consider

203

1 the LLNA study for the derivation of safe area
2 dose. And further, as has already been
3 discussed during this meeting, LLNA has not
4 been validated for assessing metals. And we
5 don't think it's needed to be applied on the
6 actual problem. Please next slide.

7 So what is extremely important when
8 we're discussing allergic contact dermatitis
9 is the exposure scenario. And here we think
10 we have quite a lot of data missing because
11 there are many questions to be asked here.
12 And, particularly, we learned yesterday that,
13 when we are bleaching the decks with cleaners,
14 there might be produced hexavalent chrome.
15 And we have very, very little information
16 concerning the leaching out of hexavalent but
17 also trivalent chromate to the surface of the
18 wood. And we have very little information on
19 the exposure of the skin of chromate when
20 you're working with this wood in an
21 occupational setting.

1 And, of course, as a lot of
2 possibilities to develop new methods when it
3 comes to skin exposure, you can, of course,
4 measure a release in synthetic sweat, But you
5 can also measure, for example, nickel or
6 chromate in nails. You can directly measure
7 chromate in the skin by making different
8 stripping methods. So it's possible to
9 develop very accurate exposure measurements to
10 validate this.

11 So we think that, when it comes to
12 selection of studies, Nethercott's is
13 absolutely outstanding, the study from '94.
14 And first of all, we can say that allergic
15 contact dermatitis is reversible. And
16 allergic contact dermatitis will persist if
17 the exposure is continued. But it will
18 disappear if you remove the exposure, but the
19 underlying contact allergy will persist.

20 We also had to realize that the
21 Nethercott study has been done, conducted in a

1 sensitized population, and, thus, represents a
2 sensitive population. It is an elicitation
3 study in already sensitized individuals. They
4 are using the TRUE Test which is actually more
5 sensitive than other patch test systems when
6 it comes to the metal. And we are thinking
7 about chromate, nickel and cobalt.

8 What are the advantages of this
9 critical study? First of all, it's a large
10 population that is patch-tested. And then you
11 can say, okay, 54, this is not a large
12 population. We have to remember that this was
13 collected among 6,000 patient's patch- tested
14 among this group of dermatologist. They
15 evaluated 102 individuals and called them back
16 for retesting. So it's actually a major thing
17 to do a study like this one.

18 We agreed that this was a good
19 experimental design. It was done at several
20 levels. And the contactality was confirmed.
21 And it was done in a dose-response manner.

206

1 They specially developed the TRUE Test so that
2 they were very certain on the specific dosage
3 they used.

4 They were sure that all the whole
5 population was sensitized to Cr(VI). They
6 agreed on a conservative reading scale of the
7 individual patch test.

8 There are other studies. There is
9 the study by Hansen 2003 which also includes
10 trivalent chromate. It's a minor study.
11 That's only 17. It's actually very
12 supportive, and it is coming to the same
13 conclusion as the Nethercott study.

14 Further, Hansen and coworkers have
15 made a review of the literature published in
16 2002. And, again, this is supportive. And if
17 you look at the thresholds in the older
18 literature, it's larger than the thresholds
19 defined in the Nethercott study. So, again,
20 by sticking to the Nethercott study, we make a
21 conservative estimate.

1 Concerning the Fowler study, we
2 discussed that yesterday. And at least some
3 think that the conclusion of that study is not
4 completely in agreement with the experimental
5 data. What we could have hoped for was
6 available was that we had a study
7 supplementing the Nethercott study where we
8 had an open exposure over several weeks, two
9 to four weeks, repeated open exposure with a
10 lower dosage to have a study more imitating
11 the use situation.

12 We have not such a study available
13 in a larger scale when it comes to chromate.
14 That's a minor study made by my group on
15 fingers. And that's the study by Dr.
16 Basketter made on damaged skin. But, again,
17 it's a relatively small population. And we
18 have here to glean our support from other
19 studies. For example, nickel, preservatives,
20 and fragrances, where we have two to four
21 weeks studies.

1 So in our conclusion, we considered
2 that the threshold in the Nethercott study
3 0.018 ug/cm² in one patient. And this was
4 obviously a very sensitive patient who reacted
5 to a lot of things. And this patient was
6 disregarded. And, therefore, we decided to go
7 closer to the minimal elicitation 10 percent
8 dose which is 0.88 ug/cm². This was 4 out of
9 the 54 who reacted in the Nethercott study.

10 Then concerning the uncertainty
11 factors in such a human chromate study, we put
12 0.1 on the matrix vehicle. And this is
13 because it's an occluded exposure. It is so
14 that it is generally an increase of activity
15 at least to the effect of 10. But then we
16 have to realize that in real life we will have
17 a repeated open exposure which cannot really
18 overcome this factor.

19 The inter- and intraspecies is at 1.
20 And concerning the exposure factor, that's
21 significant uncertainty. And we have placed

1 this from 3 up to 10.

2 So our estimated range would be a
3 figure between 0.03 to 0.09 ug/cm2. And this
4 is also pretty close to the data which was
5 coming up also using the local lymph node
6 assay. And it's quite also interesting to see
7 that it is not so far from the regulation
8 which we have on nickel, for example, which is
9 0.5 ug/cm2.

10 And here we have to remember that
11 nickel is estimated to be a moderately potent
12 sensitizer while chromate is a potent
13 sensitizer. So we feel quite confident that
14 this might be a reasonable level to suggest.

15 Thank you very much.

16 DR. HEERINGA: Thank you Dr. Menne.

17 At this point, I'd like to ask the
18 associate discussants if they have any points
19 to add to the presentation and comments that
20 Dr. Menne has just offered on Question 4. Dr.
21 Pleus.

1 DR. PLEUS: Yes, just a minor
2 comment. In that the one thing that I felt --
3 and I'll speak personally on this one -- was
4 that the 0.088 was a conservative number. And
5 I think that that was explained in the slide,
6 but I just wanted to make sure it was stated.

7 In addition, the uncertainty
8 factors, obviously, the exposure was one that
9 had a range of values. And I think given
10 maybe more time and maybe a closer look at
11 some of the studies, we might have been able
12 to refine that. I'm not sure. But that's my
13 only comment.

14 DR. HEERINGA: With regard to the
15 conservatism of the .088 value in your
16 thinking, what were the elements that you
17 think?

18 DR. PLEUS: Well, I think they've
19 all been said before. You're looking at a
20 sensitive population to begin with, them being
21 sensitized. And I think that presents a new

211

1 arena at least in one aspect of the risk
2 assessment approach.

3 We're always looking as risk
4 assessors as what is the sensitive population.
5 And in this one, that was directly measured.

6 I'm trying to still understand what
7 does this represent to the total population.
8 And that I still am not clear about. So like
9 I said, maybe with more studies, I'd be
10 clearer about that.

11 DR. HEERINGA: Thank you.

12 DR. PLEUS: May I ask one other
13 question?

14 DR. HEERINGA: Absolutely.

15 DR. PLEUS: I would look to ask the
16 EPA just for clarification if I could.

17 DR. HEERINGA: Certainly.

18 DR. PLEUS: There's a reference
19 dose, the so-called SRFD. And the definition
20 of that RFD, do you have a definition for
21 that? Or is the same as a reference dose?

1 DR. MCMAHON: It's similar. But I
2 think it was defined in the publications as
3 related to a dose on the skin that would not
4 cause this kind of specific adverse effect.
5 So it was termed in the scientific literature.
6 We don't have an Agency definition for that
7 kind of specific yet. But it was a proposal.

8 DR. PLEUS: What I'm referring to, I
9 think, and I don't have the definition of an
10 RFD. But there's some degree of, I don't
11 know, uncertainty between the value that is
12 chosen, and it can be a magnitude higher or
13 lower and something along that line. I'm only
14 paraphrasing from the words of a reference
15 dose. Does that make sense?

16 DR. MCMAHON: Yeah. I think,
17 generally, it would refer to a dose below
18 which we would not expect to see adverse
19 effects over a lifetime is usually how they're
20 set. They're set for different durations, of
21 course, since we have acute and chronic.

1 But, yeah, I understand your point
2 about the nature of the uncertainty. And I
3 don't know if I remember it exactly myself.
4 But it relates to how much uncertainty we
5 apply to the level of concern that we derive.
6 And then, you know, that's put into words.
7 And, generally, the definition considers what
8 you just got at in general versus specific
9 RFDs, that we have various uncertainty applied
10 to where we discuss specifically what we mean
11 in that analysis.

12 You are correct. That is part of
13 the definition.

14 DR. PLEUS: Thank you.

15 DR. HEERINGA: Any other comments
16 from the associate discussants or any other
17 members of the Panel? Dr. Foulds.

18 DR. FOULDS: Dr. Menne mentioned the
19 reversibility of the contact dermatitis which
20 I would agree with if there was a clearly
21 defined cause for the chromate exposure, for

1 example, shoe dermatitis which can be easily
2 avoided.

3 I'd just like to mention the
4 occupationally induced chromate dermatitis
5 which where apparent, withdrawal from the
6 chromate exposure , i.e., cement or plaster,
7 there can be a significant problem for a
8 proportion of people exposed which, in some
9 studies, has been reported up to 10 percent of
10 individuals that may develop a persistent
11 occupational dermatitis.

12 But that's in the occupational
13 context. There's no evidence to suggest that,
14 as far as I'm aware, in the nonoccupational
15 exposure that there may be such a persistent
16 problem from withdrawal from the allergen.

17 DR. HEERINGA: Dr. Meade.

18 DR. MEADE: I just wanted to make
19 one comment about one of the bullets on the
20 slide related to the local lymph node assay.
21 And the point was made again that the local

1 lymph node assay has not been validated for
2 metals.

3 I'd like to draw the Panel's
4 attention and the group's attention to the
5 fact that, at the time of the ICVAAM peer
6 review was done, there was not sufficient data
7 to include metals in this evaluation. And
8 since that time, studies have been added. And
9 we have shown that we can, indeed, induce
10 sensitization to metals.

11 It has not gone back through
12 rigorous validation or a validation exercise
13 at all. But I'd also like to call the
14 attention that using the human patch test for
15 elicitation of responses has never been
16 validated nor has the guinea pig maximization
17 test or many of the other tests that we use.

18 So I think that always putting in
19 front of us the lack of validation for some
20 portion for use of local lymph node assay is
21 holding it to a standard that no other tests

216

1 are. And again I'd point out that we do not
2 feel that this assay is ready to be used in
3 quantitative risk assessment but that the data
4 is in pretty close agreement with the human
5 data. And we do feel that there is promise
6 for this assay down the road.

7 DR. HEERINGA: I'd like to go back
8 to the last slide. I want to make sure that
9 our calculations as presented are correct.
10 Can you bring that back up?

11 I may be off base here, but I want
12 to make sure that what was put up there is a
13 range, that there was a consideration of a
14 10-fold factor for exposure and then a
15 potential lower 3-fold factor. And I think
16 those should be divisors instead of
17 multipliers.

18 Let me just think out loud here.
19 With a 10-fold uncertainty for exposure, the
20 denominator here is 1 with a 3-fold factor on
21 exposure is the denominator .3. So we should

217

1 be at .088 divided by .3 which is closer to
2 2.44 or 2.5.

3 I just -- does everybody follow me
4 on this?

5 DR. HAYES: You did the math in the
6 room.

7 DR. HEERINGA: Yeah, I helped. Dr.
8 Hayes pointed out that, when I was walking
9 through the room, somebody asked me to do the
10 math; and I did the multiplication instead of
11 the division. So I'm being sent back to
12 school. I did recover I think here,
13 unfortunately.

14 It's .088 divided by .3. I just
15 want to be clear that, since there was a range
16 put up here, we were absolutely correct on
17 this range. And my understanding of the use
18 of these uncertainty factors is exactly that,
19 that they are divisors on this to essentially
20 lower the exposure thresholds.

21 DR. JACOBS: You divide by 1 or you

218

1 divide by 3? No. Divide by .3.

2 (General discussion among Panel
3 members.)

4 DR. HEERINGA: I don't have my
5 calculator on me.

6 DR. JACOBSON: But it needs to get
7 smaller.

8 DR. HEERINGA: I've since lost the
9 ability to --

10 (General discussion among Panel
11 members.)

12 DR. HEERINGA: It's .3 though. So
13 the product of the uncertainty factors is
14 either .3 or .1. Excuse me.

15 DR. PLEUS: Is this correct?

16 DR. HEERINGA: Does everyone agree
17 that that is correct as you apply the
18 uncertainty factors? Sorry for the confusion.

19 Dr. Hayes is right. That as I was
20 walking by the screen, I was asked to do the
21 multiplication in my head. And I did the

1 multiplication. Any other comments? Dr.
2 Siegel.

3 DR. SIEGEL: I have one comment. On
4 the .1, I agree with that as far as for wood.
5 But would you agree that that's probably not
6 generalizable to other materials such as
7 leather gloves or shoes where that would come
8 closer to being occluded and that you would
9 wear for longer times for repeated exposure?
10 Is there any discussion on that?

11 DR. HAYES: I think that we
12 specifically did this for wood chromate. That
13 was the question that was asked.

14 DR. HEERINGA: Dr. Meade.

15 DR. MEADE: Just one other point
16 that we discussed, and I don't think that it
17 has come out in this. And correct me if I'm
18 wrong. The remainder of the Panel, we said
19 that we were entering this into the
20 weight-of-evidence; that we weren't suggesting
21 that the Nethercott study by itself be taken

220

1 as the sole analysis. But this is one part of
2 the weight-of-evidence.

3 DR. HEERINGA: That's a good point.
4 Thank you. Dr. McMahon.

5 DR. MCMAHON: Yes, I just have a
6 clarifying question. I just wanted to make
7 sure I understood the selection of 1 as the
8 intraspecies variation factor. And my
9 understanding of that would be that it was
10 based on the use of a sensitized population
11 and what you consider a fairly large study
12 size for this type of study.

13 I just wanted to make sure I
14 understood. And the selection of the 10
15 percent response level, am I correct in my
16 reasoning as to what led you to that factor?

17 DR. HEERINGA: Dr. Menne, would you
18 like to address that?

19 DR. MENNE: I think you're right.
20 That's in accordance with our discussions.

21 DR. HEERINGA: Are there any other

221

1 comments with regard to Question No. 4. Mr.
2 Jones.

3 MR. JONES: Just a little bit more.
4 Maybe it could be just repeating what you
5 said. It takes a while for me to absorb it
6 all.

7 That the science behind the
8 rationale of the matrix factor of, is it, .1
9 would be interesting to hear what the Panel
10 was thinking. What size sort of supports that
11 value versus .2 or .3 or any other number? I
12 understand the why less than 1 part.

13 DR. HEERINGA: Dr. Menne, do you
14 want to start with this?

15 DR. MENNE: You know, if you're
16 asking whether it should be 0.1 or 0.3, I have
17 no science to support that. But it's a
18 general thing, that when we are making the
19 patch testing and compare it, for example,
20 with a repeated doses, then we need
21 approximately 10 times higher concentration

222

1 for the occluded -- 10 times lower
2 concentration for the occluded patch test.
3 But, of course, this depends very much on the
4 happen. So it might differ from one happen to
5 the other.

6 And if you ask me how many studies
7 have been done in this area, I would say it's
8 few. So this statement is not very well
9 supported.

10 MR. JONES: Okay. And then
11 similarly in the factor for exposure, you
12 suggested 3 or 10. In advice to us, how would
13 you suggest we sort of weigh the one versus
14 the other in the exposure factor that you
15 recommended?

16 DR. HEERINGA: Dr. Pleus, would you
17 like to address that?

18 DR. PLEUS: Well, I think with much
19 of this in terms of uncertainty factors it's
20 using best professional judgment. And the
21 range is there because of -- the range is

223

1 there, I think, effectively because we judged
2 that when you're considering -- let me kind of
3 rephrase it.

4 In the best of all worlds, the type
5 of study that we would prefer in this kind of
6 an evaluation is an open test with repeated
7 exposures. And the suggestion would have been
8 four weeks, for example. So what the attempt
9 in this uncertainty factor is to adjust for
10 that to get a better understanding of what
11 that might mean and then base that on best
12 professional judgment with what was done in
13 the Nethercott, et al., study. And so with
14 some flexibility, we have 3 or 10.

15 MR. JONES: That's helpful.

16 The last question I had, just to
17 make sure I'm understanding the totality,
18 really, of what you talked all afternoon --
19 and correct me if I've not understood it well
20 -- is that the data that exists doesn't really
21 support this kind of quantitative analysis for

1 induction, but that it does for elicitation;
2 and elicitation to -- it will be protective of
3 induction. I think I got that part right.

4 And then sort of going back to a
5 comment you made a few minutes ago, Dr. Pleus.
6 You also had some questions about what the
7 elicitation quantitative analysis says to
8 induction. And I just wanted to get the sense
9 of a broader group as to any thoughts that you
10 may have about this risk analysis around
11 elicitation, what it does tell us about the
12 general population or not.

13 It sounded like there isn't much
14 you're able to say other than it's protective
15 of the general population for induction.

16 DR. HEERINGA: Dr. Menne.

17 DR. MENNE: I can try to answer it.

18 You can say that here you're taking
19 the most sensitive population probably you
20 have out of the total population. Because
21 these individuals, they have been sensitized.

1 And there can be two reasons for it. Either
2 they are particularly sensitive because of
3 genetics, enzymatic, polymorphism or whatever
4 it can be; or they have had a specific high
5 exposure. So they have been sensitized as far
6 as the individual level and the exposure
7 level. And that's the reason why they have
8 ended up at the dermatologist, been patch
9 tested, and ended up in this trial here.

10 So we can say that it's probably the
11 best possible population we can have because
12 they have been sensitized, not but one reason
13 but by several reasons, by the sum of chromate
14 exposure in the society. And probably they
15 represent a special sensitive group because
16 they have shown they are able to be
17 sensitized.

18 We could speculate that we could
19 have another group. We could, for example,
20 take a group of 200 individuals, and then we
21 could experimentally sensitize them and make

1 the same study. But we cannot be sure that
2 this will be as good a marker as this one.
3 Because those experimentally sensitized, they
4 are probably exposed to a much higher dosage
5 and this might come out with another result.

6 So I think that by doing it this
7 way, you have a kind of natural selection of
8 the population. You have the people who are
9 sensitive, and you have the diversity of
10 different exposures in the population. And in
11 that way, you have the kind of worse-case
12 scenario.

13 And if during this exercise, you can
14 protect this group of individuals, you should
15 have a very fair chance to protect the rest.

16 So that's the thinking behind it.
17 It's maybe not big science. But it's because
18 we really don't know what is making an
19 individual sensitive to sensitization. We
20 haven't figured out the genes yet. We will do
21 it. I'm sure that we will come up with these

1 genes that make -- so that we can define the
2 group of individuals who are particularly
3 sensitive to chromate sensitization.

4 And I think when we have done that,
5 you will see that some will have a propensity
6 for chromate sensitization; others, probably
7 to other allergens; and a subgroup of the
8 population will have a propensity to multiple
9 sensitizations.

10 MR. JONES: Thanks.

11 DR. HEERINGA: Dr. Meade and then
12 Dr. Hayes.

13 DR. MEADE: Actually, if Wally's
14 addressing this, he can go ahead.

15 DR. HEERINGA: Dr. Hayes first,
16 please.

17 DR. HAYES: We have said all along
18 that the human data should take precedence.
19 If I remember correctly, if you look at the
20 LLNA data, the local lymph node assay, it
21 gives the same answer from the induction side

228

1 of the equation. So when we put the weight of
2 the evidence together, you have increased the
3 support for all of this.

4 DR. HEERINGA: Thank you. Dr.
5 Meade.

6 DR. MEADE: Jim, I just wanted to
7 add something to the question that you had
8 asked about why we gave range for the
9 uncertainty factor related to exposure. And I
10 think you'll be able to narrow that down more
11 when you get your exposure data. Part of that
12 is because we don't have any information on
13 what the exposure is going to be at this
14 point.

15 Once you get the wipe data, you may
16 find that people won't be repeatedly exposed
17 to a given deck once it's built after two
18 weeks or whatever. But we don't know that.
19 So you'll have a very a different, I would
20 think, uncertainty factor related to exposure
21 if you find you can no longer recover chromium

229

1 from wood once it is been cured for two months
2 versus if you find it's going to leach out
3 every time you clean it or every time it
4 rains.

5 So I think that's part of the reason
6 for the breadth of the uncertainty. We don't
7 know what exposures are at this point.

8 DR. HEERINGA: Dr. Hayes.

9 DR. HAYES: I hate to disagree with
10 my good friend, but that's not the exposure
11 part of the equation. This is a different
12 exposure if I understood correctly. This is
13 the size area, the place that it's located,
14 and not the actual exposure coming off of the
15 product.

16 DR. MEADE: I thought it related to
17 repeat exposure. And that's where I was
18 coming from. You eliminate the possibility
19 for repeat exposure if, indeed, it's not
20 coming out of the wood.

21 DR. HAYES: That's part of it. But

230

1 it's not the actual exposure from the product
2 itself.

3 DR. MEADE: No. It's not the
4 exposure numbers.

5 DR. HAYES: I just want to be sure
6 that's --

7 DR. MEADE: It's just whether you
8 have the potential for repeat exposure or you
9 have not is what I was referring to.

10 MR. JONES: I'm sorry. Let me make
11 sure I get this. That if the wipe data shows
12 that the Cr(VI) you can be exposed to six or
13 eight weeks later, then, obviously, a repeat
14 exposure is possible if not likely. If it
15 only is going to occur for six hours or six
16 days, then you may not -- the likelihood of a
17 repeat exposure is less.

18 DR. HAYES: And you go with the
19 smaller number.

20 MR. JONES: You go with the smaller
21 number. I see.

1 DR. HEERINGA: Additional comments?

2 Dr. Pleus.

3 DR. PLEUS: Again, as we sit and
4 deliberate and produce our report, we may have
5 an opportunity to pull some more studies that
6 might be useful in helping delineate that or
7 support that.

8 DR. HEERINGA: Just a comment on
9 that, too. Of course, we'll only cover things
10 that have been presented here. But if we have
11 references in direct support of that, they may
12 be cited in the literature. But we won't be
13 introducing any new data beyond what's been
14 covered here.

15 DR. MEADE: And I guess with that in
16 mind, I will just -- I had asked about this
17 earlier. We spoke in generalities, I guess,
18 Jim, when you had asked earlier about why we
19 didn't support any of the three methodologies.

20 And just so we can get the
21 literature in the report, I would like to make

232

1 reference to the Gerberick papers, the
2 Basketter papers, and others that have looked
3 at potency comparison data for the local lymph
4 node EC3 value in setting group's potency
5 groups for local lymph node data in comparison
6 to the human data because those will be cited
7 in the report.

8 DR. HEERINGA: Dr. Pleus.

9 DR. PLEUS: And in lieu of your
10 comment, although I don't have any studies in
11 my mind in particular, I guess there is
12 probably a literature out there that talks
13 about behavior of people and their use of
14 outdoor equipment and things of that.

15 DR. HEERINGA: Actually, there is a
16 good source for that literature. It's been
17 fairly extensively addressed in this series of
18 SAP meetings on CCA-treated wood. And, in
19 fact, those exposures, including children's
20 exposure, I think the minutes of those
21 previous meetings of the SAP on CCA, while

1 they are not necessarily relevant to chromium
2 exposures from ACC in terms of activity
3 patterns, I think those issues have been very
4 heavily addressed. And so it would be a very
5 good source of references on those activities.

6 Any other comments from the Panel on
7 Question No. 4? From the EPA scientists?

8 Yes, Mr. Merenda.

9 DR. MERENDA: This is just checking
10 back on something that I may have
11 misunderstood that was mentioned earlier in
12 the discussion. But there seemed to be some
13 conversation about cross-sensitization between
14 chromium and nickel and should the Agency be
15 in any way be considering that in this
16 process. I presume that the study that we're
17 using here was limited to people who were
18 identified as chromium sensitive. Would it
19 make any difference for someone who is nickel
20 sensitive?

21 DR. HEERINGA: Dr. Menne.

1 DR. MENNE: No. There's no
2 cross-sensitivity between chromate and nickel.

3 DR. MERRILL: Good. Thank you.

4 DR. HEERINGA: Any additional
5 questions or comments on Question No. 4?

6 Okay. At this point in time, I'd
7 like to move on to just a wrap-up. And we've
8 had a lot of material presented. We've
9 discussed a lot of issues. And I would like
10 to give the members of the Panel and then
11 researches and administrators from the EPA a
12 chance to make some additional comments.

13 There will be, in addition to the
14 response to the four directed questions to the
15 Panel, a general set of recommendations, an
16 overview, that is, and part of the minutes in
17 the report. If there are other things that
18 you would like to see included in the minutes
19 of these proceedings or as part of that
20 report, I would be happy to have you give
21 those at this point. Maybe I can start with

235

1 Dr. Foulds.

2 DR. FOULDS: I don't think I've got
3 anything additional to add on. I think I've
4 brought everything out in my presentation.

5 Thank you.

6 DR. HEERINGA: Dr. Menne, the same?

7 DR. MENNE: Thank you.

8 DR. HEERINGA: The same. Dr. Hayes?

9 DR. HAYES: (Shakes head in
10 negative.)

11 DR. HEERINGA: Dr. Pleus?

12 DR. PLEUS: Nope. The same.

13 DR. HEERINGA: Dr. Isom? Okay. Dr.
14 Thrall? Dr. Meade? Dr. Bailey? We've been
15 successful here. Dr. Burleson? Dr. Chu? Dr.
16 Siegel?

17 DR. SIEGEL: Did you want us to
18 address Dr. Burgess's questions?

19 DR. HEERINGA: There are -- we'll
20 pick that up in a moment. There were some
21 questions that were presented, the OSWER

236

1 questions. Is that what you're referring to?

2 DR. SIEGEL: Yes.

3 DR. HEERINGA: Yeah, If I could get
4 back to those in just a moment. Let's maybe
5 just focus on the EPA.

6 DR. SIEGEL: Nothing.

7 DR. MONTEIRO-RIVIERE: Nothing.

8 DR. HEERINGA: We were in a position
9 which is little unusual for the SAP, but I
10 think is beneficial, potentially, to the
11 Agency. And that is in the presentation
12 yesterday by Dr. Michele Burgess, she had
13 posed two questions at the end of her talk.
14 And if I could read the first. And if there
15 are any contributions from the Panel.

16 Mr. Jones, you don't mind if we do
17 this at this point.

18 MR. JONES: Please, please.

19 DR. HEERINGA: Let me just read
20 them. And if there are any contributions from
21 the Panel, I think the first one might be

237

1 answered with a very simple answer. But I'll
2 let somebody more knowledgeable than I do
3 that.

4 And that is: Does the SAP agree
5 that environmental matrix variables will
6 influence the acceptable area dermal dose to
7 induce, elicit contact dermal sensitization in
8 an individual when exposed to a chemical
9 incorporated in an environmental media?

10 Dr. Burgess is joining us here, too.

11 DR. BURGESS: Thank you.

12 DR. HEERINGA: Does anybody want to
13 give an answer to that? Yes, Dr.
14 Monteiro-Riviere.

15 DR. MONTEIRO-RIVIERE: I guess,
16 based on everything that was presented here
17 today, you can see that we probably do agree
18 that matrix variables can influence the amount
19 of a compound that could be available to
20 penetrate through the stratum corneum. It's
21 been redundant all day long here. So I think

1 it's sufficient.

2 DR. HEERINGA: The first one is
3 easy. I think the answer is yes. Dr. Siegel.

4 DR. SIEGEL: I would also like to
5 mention that it could go either way. It can
6 bind so it can't absorb or facilitate
7 absorption.

8 DR. HEERINGA: And the second part
9 the Dr. Burgess's question to the Panel in her
10 presentation was: Please describe how
11 media-specific characteristics have or do not
12 have a substantial impact on determining an
13 environmentally acceptable dose for a chemical
14 incorporated in an environmental media?

15 Now there's a complex kind of
16 chemistry question. I think that's a little
17 tougher to answer.

18 DR. PLEUS: I'm not going to answer
19 it. But could I just ask Dr. Burgess to
20 explain it, please?

21 DR. BURGESS: Yes. That's not as

1 simple a question, is it.

2 I guess it kind of goes back to what
3 Dr. Siegel was saying. And that is, if the
4 Panel had decided that, no, these were not
5 important environmental -- and when I'm
6 talking about vehicle, I'm only speaking of
7 the media, so soil, wood, and water. Those
8 matrix variables that would, as you were
9 saying, either facilitate or abate the
10 absorption. And, therefore, I guess I was
11 just wanting to see that if, no, then why; and
12 if yes, then why.

13 And I know that's kind of
14 complicated. And I guess I'm just wondering.
15 In the deliberations that I'm hearing today,
16 it seems that there are certain factors that
17 may link to sensitivity analysis. In other
18 words, the pH may be incredibly important
19 factor. I think you were bringing up, Dr.
20 Pleus, that the sweat, the chemistry of the
21 sweat, may be an important factor for that

240

1 being taken up by the skin.

2 And I guess I was just wondering if
3 anyone had recommendations in their vast
4 experience here at the table as to which
5 factors they think may really be important
6 factors to look at.

7 DR. HEERINGA: Dr. Burgess, you
8 mentioned as media, soil, water, and wood,
9 wood particles, and probably any derivative
10 thereof. Dr. Monteiro-Riviere.

11 DR. MONTEIRO-RIVIERE: Yeah, I'll
12 give it a try.

13 For example, if you're talking soil,
14 dry soil may be different than wet soil. Wet
15 soil providing a hydration effect on the skin
16 that would then make a compound more
17 assessable. Ph definitely would make it a
18 factor. Metal speciation of the compound
19 itself, the Cr(VI) versus the Cr(III), that
20 would all have an affect.

21 Perspiration, I guess you talked

241

1 about sweat, Wally. That definitely could
2 have an effect. Whether the compound is
3 lipophilic or not would also have an effect.
4 A lot of compounds have different
5 configurations. So the molecular weight of a
6 compound would also have an effect. Fixation.

7 DR. BURGESS: Right. In the wood.

8 DR. MONTEIRO-RIVIERE: Moisture
9 content. All of that would have an effect.
10 And also exposure site. Where it is applied
11 to could have an effect. Like where hand
12 exposed versus your abdomen being exposed
13 based on the blood flow under the skin that
14 would then have uptake of the substance. And
15 also the thickness which varies over the body
16 regions.

17 DR. BURGESS: Okay. Thank you.

18 DR. MONTEIRO-RIVIERE: Or even
19 specific body sites such as axillary region
20 versus other regions that may have more sweat
21 glands, that would have more production of

242

1 sweat, that would increase the rate of
2 absorption which would be an extremely moist
3 area.

4 I think all that would happen.

5 DR. HEERINGA: Dr. Burgess, I think
6 you've probably seen them. But I would also
7 refer you to the same reports of the SAP
8 proceedings that were published on the CCA
9 reviews. I think there's a fair amount of
10 discussion in those reports on the effect of
11 speciation of particular compounds. It may be
12 arsenic. But it may also touch on chromium.
13 And I would refer you to those, too, for
14 literature and discussion by the Panel experts
15 that were present there.

16 DR. BURGESS: Thank you. Great.

17 DR. HEERINGA: Any other comments in
18 response to these two items? Thank you very
19 much, Dr. Burgess. I hope we -- oh, yes,
20 please.

21 DR. BURGESS: Thank you. Again, I

243

1 wanted to ask a question again about the
2 uncertainty factor that you all have been
3 talking about with regards to matrix and
4 vehicle effects. Is that directly related to
5 environmental exposures or just simply to the
6 test method?

7 GROUP: Test method.

8 DR. BURGESS: Test method only.

9 DR. MONTEIRO-RIVIERE: From the
10 DMSO.

11 DR. BURGESS: From the DMSO.

12 DR. MONTEIRO-RIVIERE: I think
13 that's what we concluded, that that would be a
14 great penetration enhancer for a compound.

15 DR. HEERINGA: Let's make sure. I
16 think the answer is that it was related to the
17 test method. And Dr. Meade.

18 DR. MEADE: Maybe I misunderstand,
19 then, because I thought, as we used the
20 wood-impregnated chromium as an example, it
21 really looked at both. We were testing in

244

1 DMSO, but it was going to be in impregnated
2 wood. And we said if, indeed, it was going to
3 be in wet cement, then maybe we wouldn't have
4 used that same factor. So it seems to me that
5 both play a role.

6 DR. HEERINGA: Dr. Pleus.

7 DR. PLEUS: In one way, I think if
8 you -- I think it depends on how you're
9 looking at this. And I think on one level
10 when we were looking at the studies that we
11 have all reviewed here and trying to derive,
12 let's say, a critical study and the critical
13 value and things along that line, it was
14 important to take a look at the vehicle for
15 the administration of the chemical in
16 question, Cr(VI). And so in that way, I think
17 an uncertainty factor, that's where we applied
18 that.

19 At the same time, I think Dr. Meade,
20 what you're saying here is, if you were doing
21 a risk assessment now that that part is over

245

1 and I think that's what you're speaking
2 towards more of that. Right?

3 DR. BURGESS: Right. Sort of. What
4 I had heard. And it was exactly what Dr.
5 Meade had said with regards to you kind of
6 need to be sure that the matrix that you're
7 doing the test method will match the scenario
8 that you are expecting. Like she was saying,
9 wet cement. And I also heard you say a very
10 similar thing. But yet when you put your
11 numbers up, that was where I was hearing that
12 that attributed to the test method. Is that
13 correct?

14 DR. PLEUS: That is where we have, I
15 think, focused our effort on is on the
16 uncertainty for the test method. But I
17 understood from where you were coming from you
18 were looking at it as a risk assessment
19 standpoint.

20 DR. BURGESS: Yes, yes. Ultimately,
21 yes, that's where I'm coming from. But I just

246

1 wanted to be sure I understood what you all
2 had to say.

3 DR. HEERINGA: Dr. Chu.

4 DR. BURGESS: Thank you.

5 DR. CHU: Much of this answer has
6 been said by Nancy.

7 Yes, the nature of environmental
8 media does have impact on the dermal dose.
9 For instance, in the case of chromium embedded
10 in ACC-treated wood, it is considered less
11 bioavailable. However, if a chemical such as
12 a pH is mixed with soil, then it would depend
13 on the nature of the soil; and the
14 bioavailability would probably be less
15 available to penetrate. In fact, there was
16 one, maybe more papers, published in Dr.
17 Howard's laboratory by Ron Wester which has
18 proven to this effect. Thank you.

19 DR. HEERINGA: Any additional
20 questions?

21 DR. BURGESS: No more questions,

247

1 thank you. I appreciate it.

2 DR. HEERINGA: Thank you very much,
3 Dr. Burgess. And thank you, Panel members,
4 for that.

5 At this point, I'd like to turn to
6 the scientific and administrative staff of the
7 EPA to see if they have any additional
8 questions that they'd like to ask for
9 clarification on with the Panel or comments.
10 Dr. McMahon or Dr. Chen?

11 DR. MCMAHON: No. We appreciate the
12 answers of the Panel. And we feel that
13 they've addressed the questions appropriately
14 and have given us the scientific input based
15 on what we've been able to present.

16 We don't have anymore clarifying
17 questions for the Panel.

18 DR. HEERINGA: Dr. Handwerker? Mr.
19 Jones?

20 MR. JONES: If I could just for a
21 moment. On behalf of the Office of Pesticides

1 Programs, I'd just like to thank you, this
2 Panel, for the help that you have provided to
3 us in sorting out one of the several very
4 difficult issues we face as it relates to our
5 regulatory decision-making around chromium.

6 I have not certainly been to nearly
7 as many of these meeting as my colleague Joe
8 Merenda or Paul Lewis. But I think it is --
9 after just talking with Joe briefly, I think
10 it's been somewhat unique the degree to which
11 we have gotten so much direct response in the
12 meeting. And that's greatly appreciated.

13 And I think we've got a general
14 sense as to -- not a general sense. I think
15 we have a pretty clear sense as to the Panel's
16 recommendations here, although we do look
17 forward to the final report.

18 So thank you very much for your help
19 in this efforts.

20 DR. HEERINGA: Thank you. On behalf
21 on the Panel, too, I would like to thank your

1 staff for its presentations and the
2 organization and for your involvement as well
3 in the past two days. And I want to thank all
4 the public commenters for their contributions
5 to this session.

6 And on behalf of myself and the
7 permanent members of the SAP, I would like to
8 thank all of the SAP members who joined us for
9 this session and provided their expertise. I
10 think it was exceptionally well handled.

11 And at this point in time before we
12 conclude, I'd like to turn it over Paul Lewis,
13 the Designated Federal Official, to see if he
14 has additional comments.

15 MR. LEWIS: Well, thank you, Dr.
16 Heeringa. And, again, I appreciate your
17 guidance over these past few days in terms of
18 preparing the Panel for the discussions we
19 had. And for the Panel to be actively engaged
20 in terms of preparing the slides that we saw
21 to summarize the Panel's thoughts and

1 recommendations, I think that was a very
2 useful exercise for all of us to really
3 capture the essence of their thoughts.

4 Half of our work is down now. The
5 other half is for us to prepare a report. And
6 the Panel will be preparing that report and
7 will make it available in approximately 60
8 days. Again, this serves as meeting minutes
9 which summarizes the Panel's recommendations
10 that occurred on this afternoon, the four
11 charge questions, and other comments they had.

12 I want to thank members of the Panel
13 here for working with me in terms of getting
14 prepared for this meeting and for your
15 excellent deliberation and for members of the
16 public who participated in this meeting over
17 the past two days and being actively engaged
18 in the deliberations we heard here.

19 But also I want to thank members,
20 colleagues, and the SAP, sitting over here to
21 my left, who really helped make the operations

251

1 of this meeting be successful and with our
2 meeting contractors who, again, help arrange
3 administrative support and other arrangements.

4 Thank you, Dr. Heeringa.

5 DR. HEERINGA: Just one final note.
6 We've made a number of references to papers
7 and materials. Any available copies of those
8 materials and those papers will be available
9 in the docket for these meetings and can be
10 accessed there if not directly from the
11 original source.

12 At this point in time, if there's no
13 remaining questions, I'd like to draw this
14 meeting to a close and thank everybody for
15 their attendance and participation over the
16 last two days.

17 Thank you very much.

18 [The meeting was adjourned at

19 3:40 p.m.]

20 -oo0oo-

1
2
3
4
5
6
7
8
9
10

CERTIFICATE OF STENOTYPE REPORTER

I, Jane F. Hoffman Stenotype Reporter,
do hereby certify that the foregoing
proceedings were reported by me in stenotypy,
transcribed under my direction and are a
verbatim record of the proceedings had.

JANE F. HOFFMAN