

US EPA ARCHIVE DOCUMENT

----- X  
:  
FIFRA SCIENTIFIC ADVISORY :  
:  
PANEL (SAP) OPEN MEETING :  
:  
----- X

METHODS USED TO CONDUCT A PRELIMINARY CUMULATIVE  
RISK ASSESSMENT FOR ORGANOPHOSPHATE PESTICIDES

February 5, 2002

[8:30 a.m.]

SHERATON CRYSTAL CITY HOTEL  
1800 Jefferson Davis Highway  
Arlington, Virginia 22202

1

**PARTICIPANTS**

2

**FIFRA SAP Session Chair**

3

Ronald J. Kendall, Ph.D.

4

5

**Designated Federal Official**

6

Mr. Paul Lewis

7

8

**FIFRA Scientific Advisory Panel Members**

9

Herb Needleman, M.D.

10

Christopher J. Portier, Ph.D.

11

Stephen M. Roberts, Ph.D.

12

Mary Anna Thrall, D.V.M

13

14

**FQPA Science Review Board Members**

15

John Adgate, Ph.D.

16

William Brimijoin, Ph.D.

17

Richard Bull, Ph.D.

18

Rory Conolly, Sc.D.

19

Patrick Durkin, Ph.D.

20

Natalie Freeman, Ph.D.

21

Jean Harry, Ph.D.

3

1 Steven Heeringa, Ph.D.

2

3 Ernest McConnell, D. V.M.

4 Peter MacDonald, D. Phil.

5 Nu-May Ruby Reed, Ph.D.

6 Lorenz Rhomberg, Ph.D.

7 Lauren Zeise, Ph.D.

4

1 DR. KENDALL: Good morning. I'd like to welcome everyone  
2 to the February 5, 2002, meeting of the Scientific Advisory Panel to  
3 discuss the Cumulative Risk Assessment for Organophosphate  
4 Pesticides. My name is Ron Kendall. I'll be chairing the next several  
5 days.

6 And at this point, we would like to introduce all the panel  
7 members. We had a few minutes to meet this morning to get  
8 organized, to get going. This is going to be a very challenging  
9 meeting. The amount of material received to date had been  
10 extraordinary. And we appreciate the effort of EPA in moving this  
11 process forward and giving us an opportunity to continue to review  
12 and contribute where possible.

13 I'd like to go ahead and introduce the panel members as we do  
14 as standard procedure. Dr. Bull, we start with you, and we'll move  
15 around the table.

16 DR. BULL: How much history do you need?

17 DR. KENDALL: Name, rank, serial number.

18 DR. BULL: I'm Richard Bull. Washington State University.  
19 My area is toxicology.

20 DR. KENDALL: Please use the microphones. And Dr. Bull,  
21 really, the name of area of expertise and affiliation, please.

5

1 DR. BULL: Richard Bull, Washington State University,  
2 toxicology.

3 DR. DURKIN: Pat Durkin, Syracuse Environmental Research  
4 Associates. I do pesticide risk assessments primarily for the USDA.

5 DR. HARRY: Jean Harry, National Institute of Environmental  
6 Health Sciences. Research area is in neurotoxicology.

7 DR. RHOMBERG: Lorenz Rhomberg. Gradient Corporation.  
8 I'm also an adjunct professor at The Harvard School of Public Health.  
9 And I'm interested in quantitative risk assessment methodology.

10 DR. CONOLLY: Rory Conolly, CIIT Centers for Health  
11 Research in Research Triangle Park, North Carolina. I'm interested in  
12 the mechanisms of toxicity that underlie the shape of the dose  
13 response curve and the use of biologically based models in risk  
14 assessment.

15 DR. MCCONNELL: Gene McConnell, Toxpath, Raleigh, North  
16 Carolina. My area of interest is experimental comparative pathology  
17 and toxicology.

18 DR. BRIMIJOIN: I'm Steve Brimijoin, Department of  
19 Pharmacology, Mayo Clinic. I do research on pharmacology and  
20 toxicology of cholinesterases.

21 DR. ROBERTS: Steve Roberts. I'm a toxicologist at the

6

1 University of Florida. I'm a professor with a joint appointment in the  
2 College of Medicine and the College of Veterinary Medicine. My  
3 interests are in mechanisms of toxicity and, also, in risk assessment.

4 DR. PORTIER: Chris Portier from the National Institute of  
5 Environmental Health Sciences in Research Triangle Park, North  
6 Carolina. I direct the environmental toxicology program and sort of  
7 direct the national toxicology program. My area of expertise is  
8 biostatistics and risk assessment.

9 DR. ADGATE: John Adgate, University of Minnesota School of  
10 Public Health. My expertise is in exposure assessment and risk  
11 assessment methods.

12 DR. FREEMAN: Natalie Freeman, Robert Wood Johnson  
13 Medical School and the Environmental and Occupational Health  
14 Sciences Institute in Piscataway, New Jersey. My areas of expertise  
15 are exposure assessment in the residence and children's exposure.

16 DR. REED: Nu-May Ruby Reed from California Environmental  
17 Protection Agency, Department of Pesticide Regulation. I am a  
18 toxicologist doing pesticide risk assessment.

19 DR. MACDONALD: Peter MacDonald from Mathematics and  
20 Statistics at McMaster University in Canada. I have a general  
21 expertise in applied statistics and model fitting.

7

1 DR. HEERINGA: Steve Heeringa, the University of Michigan  
2 Institute for Social Research. I'm a biostatistician. I direct research  
3 operations for the institute there at the University of Michigan.

4 DR. KENDALL: Thank you. My name is Ron Kendall. Again,  
5 I'll be chairing the session today. I serve as chair of the SAP. And I  
6 have enjoyed working with this very fine group at the SAP. I'm from  
7 Texas Tech University. I'm professor and chairman of the university's  
8 Department of Environmental Toxicology. I also serve as director of  
9 the university's Institute of Environmental and Human Health.

10 I wanted to just say a special word of thanks for the staff's  
11 efforts to make sure this panel, this very fine panel, gets here okay as  
12 coordinated. I thank Larry Dorsey, Shirley Percival, and the rest of  
13 the group, Larry's very fine staff, who always do a great job. And it's  
14 going to be my pleasure to work with Paul Lewis. Paul and I served  
15 for years together. And I turn it over to you, Paul, for any  
16 administrative procedures. Thank you.

17 MR. LEWIS: I think you, Dr. Kendall. Again, it's a pleasure to  
18 work with you and for the members of the panel for another meeting  
19 with the Scientific Advisory Panel. I would like to welcome the panel  
20 members and the public to this important meeting of the FIFRA  
21 Scientific Advisory Panel addressing methods used to conduct a



8

1 preliminary cumulative assessment for organophosphate pesticides.

2         And I, also, want to thank the panel for agreeing to serve at this  
3 meeting and for their time preparing for this meeting and the upcoming  
4 deliberations that will happen over the next four days. Also, to my  
5 colleagues on the EPA staff and my colleagues with the Scientific  
6 Advisory Panel for their efforts in preparing for this meeting today and  
7 for the remainder of the week.

8         We have several challenging science issues over the next four  
9 days. And we have five sessions that are distributed over that time  
10 period that outlines the discussion for the panel that's upon us. We  
11 have a full agenda for today and meeting times are approximate. Thus,  
12 we may not keep to the exact times as noted due to panel discussions  
13 and public comments. And I want to assure adequate time for Agency  
14 presentations, public comment, and panel deliberations.

15         For presenters, panel members, and public commentators, please  
16 identify yourselves and speak into the microphones provided since the  
17 meeting is recorded. And for panel members, we have distributed  
18 copies of overheads to be presented for today. And any public  
19 comments that are presented in written form, if we have copies, we'll  
20 be sharing them with you also for members of the panel.

21         In terms of public commentators, for members of the public

9

1 requesting time for public comment, please limit your remarks to five  
2 minutes unless prior arrangements have been made. And after  
3 completing your comments, we would appreciate that you complete the  
4 form that's located at public comments stand next to Dr. Bull to be  
5 used to identify yourself. If you can attach a business card that we can  
6 include that as part of the public record that would identify yourself  
7 and your affiliation.

8 All background materials, questions posed to the panel by the  
9 Agency and other documents related to this SAP meeting are available  
10 at docket. And the overheads that will be used for this meeting by the  
11 EPA presenters, will be available in the next few days, also on docket.  
12 The primary background materials, the agenda, the list of panel  
13 members, and the subsequent final report will be available on our  
14 docket and also posted on our SAP web site.

15 My role as a Designated Federal Official for the meeting this  
16 week is to serve as liaison between the Agency and the panel. I'm  
17 responsible for ensuring provision that the Federal Advisory  
18 Committee Act are met. And as a Designated Federal Official, I work  
19 with appropriate Agency officials to assure all appropriate ethics  
20 regulations are satisfied.

21 In that capacity, panel members are briefed for provisions of the

10

1 Federal Conflict of Interest Laws. Each participant has filed a  
2 Standard Government Ethics Report, commonly known as a Financial  
3 Disclosure Report. And I, along with our deputy ethics officer for the  
4 Office of Prevention Pesticides and Toxic Substances in consultation  
5 with the Office of General Counsel have reviewed the report to ensure  
6 all ethics requirements are met.

7 At conclusion of the meeting, the SAP will prepare a record as  
8 response to the questions posed by the Agency, background materials,  
9 presentations, and public comments. The report serves as the meeting  
10 minutes with be available in our OPs docket and, in addition, posted  
11 on the SAP web site in approximately. And we expect the report to be  
12 available in approximately 30 to 60 working days.

13 Thank you, Dr. Kendall, again, for serving as the chair and for  
14 the panel members and for the public for participating in today's  
15 meeting. I'm looking forward to a very challenging and interesting  
16 dialogue over the next four days. Thank you.

17 DR. KENDALL: Thank you very much, Paul. Next on the  
18 agenda, Steven Johnson, the Assistant Administration of the Office of  
19 Prevention Pesticides and Toxic Substances was going to be with us  
20 this morning. And I understand that he's got a little health problem  
21 he's dealing with at home. Ms. Sherry Sterling is here to represent Mr.

11

1 Johnson. And, welcome, Ms. Sterling.

2 MS. STERLING: Good morning. I'd like to say thank you to  
3 all of you panel members. You are veterans so you knew what you  
4 were getting into when you joined this panel. So I doubly appreciate  
5 what you're doing here.

6 I'd like to say that I realize that these four days, while they're  
7 very intensive, are just the tip of the iceberg. There's the preparation  
8 in advance and the report writing afterwards. We appreciate all of  
9 that work. This is complex. It's cutting edge and you are really  
10 helping us in moving forward on these issues.

11 So thank you very much. We look forward to the next four  
12 days.

13 DR. KENDALL: Thank you very much. It's my pleasure to  
14 introduce Marcia Mulkey, the Director of the Office of Pesticide  
15 Programs and from the Office of Prevention Pesticides and Toxic  
16 Substances. And, Ms. Mulkey, I can't say enough on behalf of your  
17 staff how they have approached this SAP time and time again to move  
18 this process forward. I think this group here that's seated is most  
19 impressed with the challenge and the opportunity to keep up with your  
20 group.

21 So, again, thank you for being here. This is special when we

12

1 have such high level members of the Agency join us for the opening.

2 MS. MULKEY: Well, thank you, Mr. Chairman. You've  
3 captured a little bit of my enthusiasm about the opportunity to be  
4 affiliated with this group of professionals with whom I'm fortunate  
5 enough to work.

6 You are all used to seeing me at beginning of these meetings. I  
7 like it that we are used to spending time together at the beginning of  
8 these meetings. But I did want to take a few moments to tell you that  
9 Steve Johnson who, as you mentioned, is Assistant Administrator for  
10 Prevention, Pesticides, and Toxic Substance, was very committed to  
11 being part of this particular SAP. And literally, but for bed  
12 confinement and doctor's orders, I think Steve would be here this  
13 morning not withstanding the discomfort he's also experiencing.

14 I have Steve's notes for his talking points which is a way of  
15 assuring that what I say, assuring me, assuring you, assuring  
16 everybody else, assuring Steve, that what I say to kick us off this  
17 morning is fully consistent with the kinds of messages that he intended  
18 to bring. So I would like to spend a few minutes on those messages.  
19 They are not extensive, but they are important for EPA and for our  
20 organization.

21 Starting with thank yous. A special thank you to Ron and to all

13

1 of these panel members for the time you have spent preparing for and  
2 will spend as part of this meeting and for the time you have spent at  
3 the many previous meetings leading up to this one on the subject  
4 matter that has grown into this integrated comprehensive presentation  
5 about our approach to the cumulative risk assessment for the  
6 organophosphate pesticides.

7 Your role has indeed been critical. I think you know that, but it  
8 does us a lot of good to be able remind you and remind ourselves how  
9 important we have found this work that we have done together. You  
10 will recognize many of your recommendations surfacing in our  
11 adjustments and adaptations in our work as we have gone along. And  
12 so it should be know surprise that we are eagerly awaiting an  
13 opportunity to engage with you in again when it is so obvious what a  
14 difference it has made in our work up until this point.

15 It's always helpful to us. We understand that you have the  
16 benefit of some arms-length distance from the statutory obligations,  
17 the statutory time lines, and so forth which govern, in the literal sense,  
18 our work. But it is worth reminding all of us that we do have another  
19 of the three deadlines set out in the Food Quality Protection Act of  
20 1996 passed back in the last century.

21 The second deadline is August 3 of 2001 by which we are to

14

1 have completed the next 33 percent of pesticide tolerance  
2 reassessment. That means we have to have completed 6,416 of the  
3 tolerances in order to be in compliance with our obligation under law.  
4 We have been working hard since the day the law passed in order to  
5 meet these deadlines. And even more importantly, in order to  
6 accomplish the public health protections that go along with assuring  
7 that all of the pesticide tolerances of the United States meet the tough  
8 new standards of the Food Quality Protection Act.

9       It's clear we have been as transparent as we know how to about  
10 this fact that in order to meet this next deadline, we must have  
11 completed all or at least a very substantial portion of the  
12 organophosphate tolerances. And because this group operates by a  
13 common mechanism, that means we must have considered cumulative  
14 risk to have accomplished that.

15       So not only devising a workable method to assess and consider  
16 cumulative risk, but implementing it through the risk assessment, of  
17 which you now have before you our preliminary cut, is a critical aspect  
18 of meeting this August 3 deadline. In fact, it's an absolutely critical  
19 aspect of meeting it.

20       So we bring this to you today with some sense of urgency, and  
21 we share with you that. But we want to make it clear that while we

15

1 are committed to meeting that deadline, we are at least equally  
2 committed to doing it in a responsible way. And from our point of  
3 view, a responsible way has at least three critical elements: Sound  
4 science; transparency, openness, and understandability; and full  
5 stakeholder involvement.

6 While this panel and our engagement with it is an absolutely  
7 core piece of our commitment to sound science, as it happens you also  
8 play an important role in our commitments to openness and  
9 understandability and to our commitment to stakeholder involvement.  
10 This is an advisory committee complete with, not only fully public  
11 meetings, but input from the public. And that will be an element of  
12 this four-day meeting.

13 So you are not only a pathway through to our statutory  
14 obligations, our obligations to the American people under their laws,  
15 but to our approach to doing so in a way that we can all hold our heads  
16 up about; that is, scientifically sound, open and understandable, and  
17 involving all points of view.

18 With that, I want to mention that we are also looking forward to  
19 the part of this meeting that is about the public and it's input. And we,  
20 as we expect you, will be listening carefully to the perspectives, to the  
21 insights, and to the information and expertise that may be brought to



16

1 bear through public participation.

2 But I do want to mention that this is not the only opportunity  
3 that the public will have to engage with us, nor is it the only  
4 opportunity heretofore. But I specifically want to mention that we are  
5 conducting an open public comment process relating to this  
6 preliminary risk assessment and all of the information connected with  
7 it and that comments are due March 8. So all public commentators will  
8 have the benefit of this meeting, the benefit of the outcome of this  
9 meeting, and some time beyond this meeting in order to complete their  
10 public comments.

11 But I, also, want to take this opportunity to urge everyone in  
12 the public to bear the same kind of burdens we have borne of  
13 timeliness regarding this process because of the common obligations  
14 that we all have under law.

15 I want to conclude with just a couple personal notes. I intend  
16 to spend as much of my time as I can possibly manage to spend in the  
17 next four days here with the panel. I want to do that for several  
18 reasons. First and foremost, because I'm so pleased and gratified to be  
19 part of the EPA team that comes before you today. And I want to  
20 stand proudly with them throughout this time.

21 Secondly, because I learn a great deal. I learn a great deal,

17

1 frankly, from listening from to them again, as well as from hearing the  
2 input of the public, hearing the input from the panel members. And I  
3 think that that helps me do my part of the responsibilities that's around  
4 this more effectively.

5 And, finally, because we want to show to the public the  
6 importance we attach to this and the seriousness that we give to all of  
7 the principles I just mentioned: Sound science; openness, understand,  
8 ability and transparency.

9 So I'm very much looking forward to the time here and the  
10 proceedings of the next few days. And I anticipate that after it is  
11 behind us and we are on to the next step, we will always look back on  
12 this as a seminal event in the progress of science in EPA's pesticide  
13 program.

14 DR. KENDALL: Thank you very much. We welcome you here  
15 again, Ms. Mulkey. And it is significant when people of your level in  
16 the Agency are willing to stay with us and hear the deliberation.

17 I also thank you for conveying some of the comments from Mr.  
18 Johnson. It is very obvious for those on the SAP. We know that the  
19 support is there and it continues to be there. And we appreciate his  
20 support your support.

21 Next, I welcome Ms. Margaret Stasikuwski from the Office of

18

1 the Pesticide Programs. Margret, we've seen a lot of you and your  
2 team over the last couple years and we welcome you and congratulate  
3 you for the progress you're making. I look forward to this  
4 deliberation.

5 MS. STASIKUWSKI: I am pleased to be here at this really  
6 important extraordinary meeting, the review of the preliminary  
7 cumulative risk assessment for organophosphates. Today I will give  
8 some historical perspective on the development of the assessment,  
9 briefly go over the agenda, and introduce members of the EPA staff  
10 who will make presentations and participate in the discussions.

11 The next four days are a culmination of five years of extensive  
12 work to develop the methods and guidance for conducting cumulative  
13 risk assessment. This first slide shows the critical stepping stone  
14 documents along the way to our objective of having the first, the final,  
15 OP cumulative assessment completed in June of this year.

16 The first critical step document was guidance issued in January  
17 of '99 on identifying pesticide chemicals and other substances that  
18 have a common mechanism of toxicity. The final guidance on  
19 conducting aggregate exposure and risk assessments across  
20 residential, dietary, and drinking water pathways was published in  
21 2001. The draft OP risk assessment you just received was finished

1 during the first week of December 2001. And our final generic  
2 cumulative guidance was just finalized in January of 2002.

3 In preparation for this meeting we looked back at how we  
4 consulted and sought your advice during the last five years. To get  
5 where we are today, we started in 1997 with SAP reviewing our  
6 approach to defining common mechanism toxicity for the purpose of  
7 conducting cumulative risk assessments. In March of '98, we asked  
8 SAP to review our conclusion that organophosphate pesticides form a  
9 common mechanism group through their cholinesterase inhibiting  
10 activity.

11 Two years later, OPs asked the SAP to review the validity of the  
12 toxicity endpoints that we selected and the approach that we used to  
13 calculate relative potency factors.

14 Last September, we presented to the SAP for comment our  
15 refined Preliminary Hazard and Dose Response Assessment for the OP  
16 pesticides.

17 For the exposure assessment, the SAP reviews and consultations  
18 covered incremental improvements in our residential exposure  
19 assessment methodology and drinking assessment methodology over  
20 the period of three years. The big leap forward in our methods took  
21 place when OPs proposed to use probabalistic Monte Carlo techniques,

20

1 first, in conducting dietary exposure assessment, then for drink water  
2 and residential assessments.

3 SAP reviewed several software models that were being proposed  
4 for use in exposure assessments, DEEM, Calendex, Life Line and  
5 CARES.

6 SAP advised the Agency several times on development of risk  
7 assessment methods for aggregating exposures across dietary, drinking  
8 water, and residential pathways for single chemicals. In 1998, SAP  
9 reviewed our probabilistic assessment methods. Building on aggregate  
10 risk assessment methods, OPs took our proposed methodology for  
11 cumulative risk assessment to the SAP in 1999.

12 In December of 2000, we brought to the panel the risk  
13 assessment methodology and our case study of 24 organophosphates.  
14 When you count this all up, this adds up to 21 reviews by the Science  
15 Advisory Panel of our approaches, methods, and case studies. And  
16 these all lead directly to our presentations today.

17 SAP recommendations have been invaluable, and here are just  
18 some highlights of their recommendations made in response to the  
19 SAP. In the area of hazard and dose response assessment based on  
20 SAP recommendations, the Agency is using a refined exponential  
21 model for dose response modeling. In the dietary exposure

21

1 assessment, OPs moved to the use of pesticide data program and other  
2 monitoring data rather than rely on field studies. OPs is using publicly  
3 available data bases and recipes in this assessment.

4 Based on the recommendations of the SAP, the Agency is using  
5 a finer division of age groups in children in this assessment, zero to  
6 one year, one to two years, and three to five years. This was possible  
7 with the use of the newer CSFII data with a supplemental children's  
8 survey of 1998.

9 In the drinking water assessment area, OPs in our preliminary  
10 assessment implemented SAP recommendations to devote resources to  
11 surface water impacts to define higher assessment tiers and develop  
12 techniques for estimating concentration distributions for probabilistic  
13 risk assessments. We adopted the recommendation to conduct  
14 regional drinking water risk assessment modeling and to shift focus for  
15 monitoring programs to support model development and model  
16 evaluation.

17 In their residential and occupational risk assessments, SAP  
18 made some key recommendations regard recommendations regarding  
19 frequency of children's hand-to-mouth activity and transferability of  
20 pesticide residues from surfaces to hands to mouth.

21 Based on the recommendations of the SAP, OPs today is using

22

1 uniform distributions for small data sets rather than rely on point  
2 estimates in residential assessment.

3 These are just some of the few, of some of the highlights, of  
4 how we implemented SAP recommendations in this assessment.

5 The next steps over the next 9 to 10 months will be to revise the  
6 December 2001 document based on today's deliberations and the  
7 public comments that we will receive. And the intended completion  
8 date for our assessment is June 2002.

9 Now, briefly, to go over our agenda. Immediately following  
10 these remarks, we have a public comment period that is scheduled to  
11 last through lunch and will cover all aspects of our cumulative  
12 assessment. This afternoon, Dr. Lowit and Dr. Setzer will present the  
13 hazard dose response analysis. This presentation will be followed by a  
14 public comment period and a panel discussion.

15 The panel discussion is scheduled to continue through tomorrow  
16 mid-morning. All of the sessions will follow a similar schedule:  
17 Presentation, public comment, and panel discussion.

18 Tomorrow mid-morning, we'll move to the presentation of the  
19 food exposure assessment presentation to be made by Dr. Bill Smith.  
20 The session on drinking water exposure assessment is scheduled to  
21 start tomorrow after and continue through mid-morning Thursday.

23

1 The session will be presented by Mr. Costello and Mr. Nelson  
2 Thurman.

3 Residential and non-occupational exposure assessment will be  
4 presented by Mr. Jeff Evans and will proceed from Thursday  
5 mid-morning until afternoon break. And then risk characterization to  
6 be presented by Mr. Dave Miller. And the session is to scheduled to  
7 continue through mid-day Friday.

8 I'd like to acknowledge that participants -- and these are just a  
9 few of the people in EPA who are responsible for preparation of this  
10 document. Mr. Kevin Costello, Dr. Vicki Dellarco, Dr. Elizabeth  
11 Doyle, Jeff Evans, Anna Lowit, David Miller, Randy Perfetti, Woody  
12 Setzer, Bill Smith, and Nelson Thurman. Thank you very much.

13 DR. KENDALL: Thank you, Margret. That was quite a  
14 summary. A lot of memories. In fact, it even forced us to change our  
15 management paradigm of the SAP because there were so many  
16 meetings that we had to rotate the chair because it was so challenging.  
17 And that has actually worked out extremely well. Our permanent  
18 panel members have stepped up and have worked with me and we have  
19 been able to accommodate this process. A lot of challenging meetings  
20 and discussion.

21 So here we are these years later. And we, at this point are there



24

1 any questions from the Panel for our speakers this morning from EPA?

2 We're right on time. Any clarification? I think we're all just

3 overwhelmed right now just reflecting on this.

4 We would like move into the public comment period. I have on

5 my agenda here at least five registered.

6 MR. LEWIS: Right.

7 DR. KENDALL: We will start in the order I have received

8 them. Jennifer Sass, Dr. Jennifer Sass, on behalf of the Natural

9 Resources Defense Council. If you would come forward. The public

10 commentor microphone is to our right. And we are asking -- first of

11 all, welcome. And we are asking that those that do come forward to

12 present try to limit your remarks --

13 MR. LEWIS: Five minutes.

14 DR. KENDALL: -- to five minutes unless other arrangements

15 have been made. And if you anticipate it to be longer, please,

16 approach me or give us some note. We're trying to accommodate

17 everybody. So anyway, we will go ahead and proceed forward. State

18 your name affiliation, please, for the record.

19 DR. SASS: Thank you. My name is Jennifer Sass. I'm a senior

20 scientist at the National Resources Defense Council. I've made

21 previous arrangements so I have about ten minutes to present.

1           And I want to first thank the EPA. I think they've done a  
2           tremendous job and a tremendous effort has gone into this both in the  
3           science and in the presentation in making it publicly available and  
4           making it accessible to the stakeholders in going through the  
5           presentations, which at best, are time consuming and at worse must be  
6           painful. And I do thank them. It's been a tremendous job.

7           And also thank the SAP. It is a tremendous commitment of  
8           time. It's also a very, very important issue. And it will set the stage  
9           for cumulative risk assessment to come by the EPA.

10           Onto the assessment. I have a couple points. First of all, I  
11           think that children have been inadequately considered throughout the  
12           risk assessment. NRDC requests that the Scientific Advisory Panel  
13           recommend a FQPA factor of at least tenfold be applied to account for  
14           the absence of proper developmental testing and for demonstrated  
15           neurotoxic effects in the DNT, the developmental neurotoxicity  
16           battery of tests where such tests have been done.

17           Under this point, all toxicology data is derived from adult  
18           animals. This data cannot be extrapolated to fetuses, neonates, and  
19           juveniles directly. It is an extremely serious omission in this  
20           cumulative risk assessment that all toxicological assessments,  
21           including dose response determinations, are based solely on adult

1 animals, in this case, cholinesterase inhibition of female rat brains with  
2 know experimental data from fetuses, neonates, or juveniles.

3         Considering the impetus of the CRA is the FQPA, which  
4 mandates the reevaluation of pesticide exposures with specific  
5 attention to the effects on fetuses, infants, and children, it is an  
6 obvious omission to disregard the life stages from the tox assessment.  
7 The magnitude of this omission, especially in light of the fact that less  
8 than half of the organophosphate pesticides have undergone DNT  
9 testing as required by the Agency is pervasive through throughout this  
10 document and is, therefore, discussed throughout these comments in  
11 various lights.

12         The developmental toxicity testing, the DNT, is still  
13 outstanding for a good number of the organophosphate pesticides and  
14 this critical data gap makes it impossible to assess the neurotoxic  
15 effects to fetuses, infants, and children.

16         Studies show that the DNT testing is more sensitive and,  
17 therefore, more appropriate for assessing and protecting children's  
18 health. DNT testing is essential for pesticides, not only as a measure  
19 of toxicity to the developing brain and the nervous system but also as  
20 an often more sensitive measure of developmental and reproductive  
21 effects generally.

1 EPA's task force for the 10-times FQPA, recommended that the  
2 DNT testing be included as part of the minimum core tox data set for  
3 all chemical food use pesticides for which a tolerance would be set. In  
4 fact, there is a data call in September 10 for DNT testing on all the  
5 OPs, all the organophosphate pesticides.

6 All of the OPs must be assumed to be developmentally  
7 neurotoxic. NRDC believes that the Agency must presume that the  
8 developing nervous system is more vulnerable than the adult to  
9 neurotoxic insult. NRDC requests that the SAP recommend that a  
10 tenfold FQPA factor at least be applied to the OPs to adequately  
11 protect fetuses, infants, and children from these neurotoxic chemicals.

12 Presuming all of the OPs to be developmentally neurotoxic is  
13 consistent with current scientific understanding of neurobiology,  
14 embryology, and neurotoxicology. A number of individual OP  
15 chemicals have been shown to be especially harmful to fetuses, infants,  
16 and children even at low doses. This is expected, given that the OPs  
17 are designed specifically to disrupt cholinesterase levels thereby  
18 affecting synaptogenesis, neuroid outgrowth (inaudible).

19 Functionally, this has been demonstrated to result in permanent  
20 disruptions in learning, memory formation, cognitive ability and  
21 behavior.

1           For chlorpyrifos, for example, DNT testing which was  
2           completed demonstrated evidence of neuropathology and increased  
3           vulnerability of fetuses when exposed. Most concerning in these  
4           experiments, neuropathology was seen in the neonates at the lowest  
5           doses tested. These studies were unable to identify a know effect level  
6           in the offspring in the DNT tests.

7           In that study, structural alterations in brain development which  
8           would result in permanent brain disfunction were seen at the lowest  
9           doses tested. Similarly, increased sensitivity of young animals  
10          compared with adults has been demonstrated with Malathion in studies  
11          performed by the registrant.

12          The organophosphate pesticides are a common mechanism  
13          group. They target a common enzyme and they induce a common set  
14          of effects, not overlapping but common; and, therefore, by all  
15          scientific criteria if any are shown to be phytotoxic, then it should be  
16          presumed that all are phytotoxic, particularly in light of the fact we do  
17          not have the proper DNT data on a lot of them.

18          Clearly, the OPs which were rigorously tested using appropriate  
19          study designs, such as DNTs were shown to be especially harmful to  
20          the developing nervous system.

21          The NRDC requests that the Science Advisory Panel consider all

1 the OPs to be developmentally toxic, both the parent compound and  
2 the toxic metabolites.

3 NRDC believes that any other conclusion is not supported by  
4 scientific evidence of phytotoxicity demonstrated in the DNT studies  
5 and will not adequately protect fetuses, infants, and children.

6 The cumulative risk assessment has failed to consider regional  
7 effects, behavioral effects, cognitive effects, and learning and memory  
8 effects in terms of neurotoxicity. The endpoints of all the tox studies  
9 used in this CRA were whole brain cholinesterase activity. This  
10 approach ignores regional variability within the brain and responses in  
11 different brain regions and masks local perturbations which may be  
12 very severe.

13 NRDC believes that histopathological examination would reveal  
14 regionally affected brain areas. Behavioral and cognitive testing  
15 including learning and memory tests, reflex tests, and others are key to  
16 assessing the key toxic affects of any neurotoxic or phytotoxic  
17 chemicals. Most importantly, with any developmentally neurotoxic  
18 chemicals, such as the OPs, effects are the result of more than the  
19 magnitude of the dose. Rather the effect is dependant on the dose, the  
20 duration of effect, in this case, cholinesterase inhibition. How long  
21 does the inhibition last, and the stage of the development at the which

30

1 the exposure takes place.

2 Exposures during key windows of susceptibility during  
3 neurodevelopment even at very low doses are most likely to have  
4 permanent devastating effects on neurofunction, including behavior  
5 and cognition. This was never examined in the current CRA and is a  
6 very serious data gap in the understanding of the toxic effects of OPs.  
7 In particular, the effects of OPs on fetuses, infants, and children have  
8 not been adequately described.

9 The CRA that we're going to see, the preliminary CRA, did not  
10 consider newborns, young children, and teenagers. And NRDC  
11 requests of the Scientific Advisory Panel that it recommend including  
12 all age groups in the cumulative risk assessment, including zero to 11  
13 months, 6 to 12 years, and 13 to 19 years. This is a very serious  
14 omission, and it makes this preliminary cumulative risk assessment  
15 unable to comment on an exposure or risk to these absent age groups.

16 NRDC believes that these omitted age groups are the intended  
17 targets of the FQPA. And without consideration of these groups, the  
18 requirements of the FQPA have not been met.

19 Exposure has been underestimated throughout this document.  
20 And I think contrary to some of the cover letters that have been going  
21 around suggesting that this document is more than adequately

1 protective, quite the opposite. There has been an systematic  
2 underestimation of exposure and, therefore, risk. And NRDC believes  
3 that this is not a public health protective document; rather it's  
4 evidence in many ways that exposure and consequent risk have been  
5 underestimated. And NRDC details examples and request that the  
6 Science Advisory Panel consider this document to be an underestimate  
7 of exposure and recommends that EPA amends the cumulative risk  
8 assessment appropriately.

9       Some points that speak to that. The Agency did not consider  
10 toxic degradants. This results in an underestimate of exposure. The  
11 NRDC requests that the SAP recommend using data on toxic  
12 degradates where available, such as some water monitoring and some  
13 food data. Where such data is not available, the EPA should estimate  
14 exposure and risk based on chemical structure, mobility, degradation  
15 rate, and known characteristics of the degradates.

16       Though EPA has abundant data for dietary exposure to OPs, the  
17 PDP and FDA data bases used only include monitoring data for  
18 residues of the parent compound. Likewise, toxic degradates and  
19 metabolites treatment byproducts were not included in the water  
20 assessments. Where metabolites were considered, they were presumed  
21 to behave as the parent compound. This is not scientifically



1 justifiable. And NRDC believes that the omission of proper  
2 consideration of the degradates results in an underestimation of  
3 exposure.

4 Many pesticides, including the OPs, have toxicologically  
5 significant metabolites. Malioxone, the bioactivated form of  
6 Malathion, inhibits acetylcholinesterase about one thousand fold more  
7 strongly than Malathion under some tests. Similarly, the dimethoxone,  
8 the metabolite of dimethoate is 75 to 100 times more potent than  
9 dimethoate in inhibiting acetylcholinesterase. This metabolite is found  
10 in field crops and food.

11 The primary degradate of ethoparathion, paraoxone, is five  
12 times more easily absorbed than parathion and is 40 to 50 times more  
13 toxic. And one of the chief metabolites of chlorpyrifos, thixone (ph),  
14 inhibits cholinesterase more strongly than the parent. Although the  
15 metabolite appears to be short-lived, the breakdown product, TCP, is  
16 more persistent and has been found in the urine of children.

17 The impact of these metabolites on developing animals, even  
18 where short-lived, could conceivably have effects irreversible effects  
19 on the nervous system and heightens the need for prudence in carrying  
20 out cumulative assessments.

21 In this cumulative assessment, the Agency did not consider

1 violative residues which may underestimate exposure. NRDC requests  
2 the Scientific Advisory Panel recommend including data on violative  
3 exposures. This data is available to the EPA and should be provided  
4 and incorporated appropriately.

5 Violative residues may be either residues detected on foods for  
6 which know tolerance is issued or which exceed the tolerance. In  
7 either case, they are extremely important and may indicate a wide  
8 spread and very dangerous problem. If residues are routinely,  
9 seasonally, or even occasionally exceeding the allowable tolerance  
10 level, then the public has a right to know and the CRA must consider  
11 these real-world residues.

12 It is unacceptable for the Agency to disregard these data based  
13 on actual monitoring as simply being outliers without providing  
14 evidence that they are flatly incorrect or of inconsequential health  
15 impact.

16 If these violative residues are the result of spray drift, of illegal  
17 applications, of machinery residues, then, again, they must be  
18 considered indicative of widespread exposure and a contributor to  
19 cumulative OP risk. In any case, the Agency must provide the data as  
20 to the frequency, spatial and temporal pattern, if any exists, and  
21 magnitude of the violations.

1 NRDC considers the absence of this monitoring data in the CRA  
2 to be a data gap and likely results in an underestimate of exposure.

3 The Agency did not consider some of the organophosphate  
4 pesticides. NRDC asks that the Scientific Advisory Panel request that  
5 omissions of OPs be considered and or else be considered an  
6 underestimate of exposure in this cumulative risk assessment.

7 In this preliminary CRA, the Agency has excluded from  
8 consideration all chemicals and all chemical uses which have been  
9 cancelled, voluntarily withdrawn, or phased out. In some cases, we  
10 have concerns that the phase out periods are long, four to five years.  
11 And the possibility that these phase-out periods may be extended is of  
12 concern to us.

13 In addition, chemicals which only have public health uses have  
14 been excluded. Again the risk to the fetus, the infants, and to the  
15 child to the developing nervous system depends on the time of  
16 exposure during development and not only the dose.

17 NRDC recommends that the EPA in the water assessment be  
18 based on all available data of use rates, of use patterns, and  
19 monitoring data so that the cumulative risk assessment will adequately  
20 capture the populations at highest risk.

21 The water model used for the preliminary CRA plots the

35

1 distribution of daily residues over multiple years and plots multiple  
2 sites rather than high exposure sites. Know point estimates were  
3 considered. This is a major departure from the individual risk  
4 assessments where point estimates were used to capture the 99.9th  
5 percentile.

6 Ignoring peak estimates leads to a very severe underestimation  
7 of risk and ignores the potentially devastating effects of exposure of  
8 OPs even at very low doses and even short durations on the developing  
9 nervous system.

10 The CRA further underestimates risk by presuming typical use  
11 rates and typical use patterns. This is a departure from the individual  
12 risk assessment which assessed exposures based on maximum  
13 allowable label rates and maximum allowable use patterns. This is a  
14 more conservative approach. While still ignoring exposures which  
15 exceed allowable limits, it at least attempts to protect those people  
16 who suffer the allowable high-end exposure. The CRA makes know so  
17 attempt.

18 The final output of the CRA water assessment reflects the  
19 typical or average use pattern which, although describing the majority  
20 of the calendar days, does not describe the majority of the risk.

21 Finally, we think that the CRA ignores the most vulnerable

1 populations. The effects of exposures which may be at low possibility  
2 but high risk impact are excluded from the CRA. Use of the central  
3 estimate, the benchmark dose, or BMD10, will estimate risk  
4 unacceptably. Use of the BMD01 is more protective and is supported  
5 by the data.

6 NRDC requests that the Scientific Advisory Panel recommend  
7 using the BMD01 rather than the BMD10 to adequately protect all  
8 populations. The point of departure in each chemical's dose response  
9 curve was determined to be the BMD10. The benchmark dose for  
10 cholinesterase activity was reduced by 10 percent. The use of the  
11 BMD10, a central estimate rather than its lower limit, ignores risk for  
12 those who are most sensitive to cholinesterase perturbations such as  
13 fetuses, infants, and children for whom changes less than 10 percent or  
14 sustained changes may induce permanent alterations in  
15 cytoarchitecture of the nervous system.

16 The Agency has never performed a proper evaluation of the  
17 subtle sustained or neuroregional effects of OP exposure either in the  
18 adult or in the developing nervous system. Thus, NRDC believes that  
19 the choice of a central estimate which the Agency's own data indicate  
20 is higher than the know ALs for oral, dermal, and inhalation exposure  
21 routes, is a potentially large underestimate of risk. In fact, the

1 BMD10 is a full threefold higher than the dermal NOAEL. And NRDC  
2 believes that the use of a lower limit, BMD01, is more acceptable as a  
3 point of departure estimate and would better reflect the low dose  
4 exposure scenarios and thus be more health protective.

5       Very importantly, the Agency has measured the magnitude but  
6 not the duration of the OP exposure. And NRDC requests that the  
7 Scientific Advisory Panel recommend including data on duration of  
8 cholinesterase inhibition in addition to magnitude to more accurately  
9 capture the toxic effects of OP exposure. To measure the full toxic  
10 potency of any chemical, including the OPs, it is necessary to measure  
11 the effects of sustained duration of exposure. This has not been done  
12 in the Agency's model of toxic effects.

13       While the animal toxicological studies considered the magnitude  
14 of cholinesterase inhibition at each dose, there is know consideration  
15 of the duration of the inhibition. Without any attempt to capture the  
16 sustained inhibition of cholinesterase activity, this model is inadequate  
17 and will likely underestimate risk.

18       NRDC encourages the Agency to pursue a truly expanded model  
19 which will describe not only the magnitude but also the duration of  
20 enzyme inhibition at each dose. This will surely prove extremely in  
21 evaluating the full toxic effect of OP poisoning and will be especially

1 important in describing the sensitivity of the developing nervous  
2 system to acute and sustained perturbations of cholinesterase activity.

3       Very importantly, farm children are especially vulnerable to  
4 pesticide exposure and are not adequately considered in this  
5 cumulative risk assessment. NRDC requests that the Scientific  
6 Advisory Panel recommend to the EPA that farm children comprise an  
7 especially vulnerable population and their exposure to OPs must be  
8 considered in the CRA where data is available.

9       Children who live on our near farms are at risk of airborne  
10 pesticide drift when they spend any time outdoors, and numerous data  
11 gathered and published reveals this to be true.

12       The current CRA model does not account for the leftover food  
13 effect. And NRDC requests that the Scientific Advisory Panel  
14 recommend that the EPA evaluate the overlap of peak residues which  
15 are likely to be seasonal with peak eating patterns which are also  
16 likely to be seasonal, such as eating fresh fruit shortly after pesticide  
17 applications.

18       These data are viable available to the EPA and should be  
19 considered. These very real exposure patterns are not random and  
20 they are likely to indicate high exposures. Of further concern, they  
21 are likely to be especially particularly concerning for young children

1 whose eating patterns are likely to correlate with seasonal fruit  
2 availability.

3 NRDC requests that the Scientific Advisory Panel recommend to  
4 the EPA that the cumulative risk assessment be based on periods of  
5 known exposure peaks such as shortly after pesticide application. In  
6 the current CRA, these data are not recorded or considered. The  
7 current CRA does not focus on the days when pesticides are actually  
8 applied.

9 And, finally, the NRDC believes that a nonproprietary model  
10 should be used on all risk assessments now and in the future. And we  
11 recognize the uncertainty and potential bias inherent in any model.  
12 And we request that the SAP recommend that assessments are done  
13 with the following safeguards.

14 Number one, that each risk assessment be performed as two or  
15 more models to begin to document model variability and model bias if  
16 it exists. Number two, each risk assessment should be performed  
17 using a nonproprietary model as one of those models in addition to any  
18 other models. And, number three, the need for uncertainty factors is  
19 required in calculating a margin of safety when probabilistic risk  
20 assessment has been done. Thank you.

21 DR. KENDALL: Thank you. Any clarification, questions from



40

1 the panel? Dr. Roberts.

2 DR. ROBERTS: Yes. Thank you, Dr. Sass. You raised many  
3 points obviously. I just wanted to ask you about two of them.

4 Does your organization have or are you aware of any synthesis  
5 of information that exist currently on OPs in terms of DNT testing  
6 versus adult cholinesterase as an endpoint? You've made the point  
7 that maybe by not considering effects, neurodevelopmental effects,  
8 that the wrong endpoint is being used. I think it would be very helpful  
9 for the panel, or at least helpful for me, to see a summary of the  
10 evidence, the data that exists, comparing those endpoints and the  
11 doses for various OPs to judge whether or not this is speculation or  
12 whether or not -- or to what extent data exists that support a  
13 difference.

14 DR. SASS: Probably the best thing out there is a paper that is  
15 still in a draft stage; although, it was a 1999 paper by Susan Makris  
16 who is a scientist with the EPA. And she compared about 12, I think,  
17 different pesticides, including some of the OPs and DNT testing with  
18 different batteries of tests that the EPA uses including subchronic. I  
19 think there was the normal neurotox, a subchronic. There is about five  
20 different tests that she compared including DNT and compared the  
21 know ALs and low ALs that resulted from these different tests and

41

1 including maternal and juvenile susceptibilities.

2 DR. ROBERTS: If that could be made available to the panel, I  
3 think that would be very helpful.

4 DR. SASS: Is that available on the web site or know,  
5 considering the paper by Susan Makris? It's an EPA paper. It's put  
6 out by the OPP. Okay. I can bring a copy.

7 DR. ROBERTS: Same sort of thing on the regional versus  
8 whole brain cholinesterase point. Some sort of synthesis or summary  
9 of what data exists however limited it might be that would suggest  
10 using whole brain might underestimate regional effects would be, I  
11 think, also helpful.

12 DR. SASS: Thank you.

13 DR. BRIMIJOIN: Actually, I have some direct knowledge of  
14 that particular issue. That's one of the points of interest in my  
15 research for the past 10 years. And I would say just a rough summary  
16 that there isn't a lot of regional variability. I would challenge what we  
17 just heard. There is some.

18 DR. KENDALL: Dr. Portier.

19 DR. PORTIER: Dr. Sass, thank you for making a number of  
20 points. I counted, I think, about 21. But I had a few questions. The  
21 violative exposures issue is one that's fairly interesting that I hadn't

42

1 thought about before. Do we have data on violative exposes? How  
2 often does it occur? And any idea how often it has been missed?

3 DR. SASS: I would answer, number one, apparently the EPA  
4 has that data and as a carrot member that has been following this all  
5 along, it's been requested by both me and the Adam Goldberg at CU.  
6 The EPA has said that they would provide that data for us. It hasn't  
7 been done yet. I know they have because they say they have it.

8 Chuck Benbrook has submitted comments that will be read by  
9 Adam Goldberg of Consumers Union later this morning; and he has  
10 done some estimates of that based on what he's been able to gather and  
11 suggests that in some cases it could as high as 10 percent in terms of  
12 above where these 10 percent of the exposures; it would add 10  
13 percent to what we know. He has some charts that I can bring that I  
14 have. I think the best would be probably be to get it from the EPA.

15 DR. PORTIER: Well, I look forward to his comments. Mr.  
16 Chairman, if you would like to give EPA a chance to respond at this  
17 point.

18 DR. KENDALL: I am -- I am --

19 DR. PORTIER: That will be fine because I have several other  
20 points.

21 DR. KENDALL: Okay. Would EPA like to respond to that

43

1 particular point?

2 MS. MULKEY: I think what I might work best is for us, as we  
3 go through our presentation, where we have -- for example, this issue  
4 comes up in the choice of which of the PDP data we used. And so if  
5 we can keep track and rather than trying to do point by point, maybe  
6 as we roll out our presentation. Because I think there will be a  
7 number of points that the other public commentators make, also, that  
8 relate to a range of issues. So if you think that's workable, we'll try to  
9 keep track and do that. I mean, if there's some clarification we can  
10 offer that's particularly --

11 DR. KENDALL: I accept your suggestion. I think that would  
12 be best. Dr. Portier, any further points for clarification.

13 DR. PORTIER: Several. Phase-out chemicals. The comment  
14 made that some of the phase-out chemicals will be as long as five years  
15 in phase out. Is that a statement of fact or not? It's something I think  
16 we should consider in looking at this over all risk assessment. Any  
17 comment on that?

18 MS. MULKEY: Most of the phase outs are shorter than that.  
19 And I don't know that any of the ones that involved applications for  
20 food go that far. But some of the residential phase outs are in that  
21 range. So we'll try to be specific about that when we talk about what's

1 excluded as we go through our presentation.

2 DR. PORTIER: And then another question, again, for  
3 clarification on my part with Agency. The use of peak estimates. Dr.  
4 Sass implied that the use of peak estimates are common for other risk  
5 assessment, other risk assessments rather than the more average issues  
6 looked at here. And my question to the Agency is: That my  
7 understanding of use of peak estimates and maximum allowable use  
8 rates is more for a screening-level risk assessment than his, which I  
9 gather, is much more of a finalized risk assessment; is that correct?

10 MS. MULKEY: That's correct.

11 DR. PORTIER: And I believe that's all my questions.

12 DR. KENDALL: Thank you. Dr. Bull.

13 DR. BULL: Thank you for the points you raised. I had a couple  
14 of questions. I just didn't quite hear. Were you suggesting that the  
15 FQPA factor be applied available even when data is available or only  
16 when data is not available on the children's issue. I was a little  
17 confused by it.

18 DR. SASS: Right. Either when data is not available, we should  
19 presume, based on data from other OPs, that they're neurotoxic. Or  
20 when there is data available that show that the juveniles are more  
21 susceptible. If there is data to show otherwise, that that certainly

45

1 should stand definitely.

2 DR. BULL: The other issue I'm intrigued by as well that you  
3 brought up and it relates to what your calling violative, but it's not  
4 really violative kinds of things in the usual application of chemicals to  
5 crops and so forth. That's spills. And if there is ever an issue in the  
6 drinking water circumstance with these kinds of compounds, it relates  
7 more to spills. And I was going to bring up the issue if there has been  
8 any attempt to address how frequently that might occur. I'm not sure  
9 that it should affect any standards that are apply to applications.

10 But it's more likely, you know, you have a compound in  
11 commerce that's a solvent a pesticide or whatever, every once in a  
12 while it ends in up in a reservoir somehow. And those are the kinds of  
13 things I'd be more concerned about in the drinking water than the  
14 average kind of input. And I just don't know how, if there's a basis for  
15 getting at that kind of frequency.

16 DR. SASS: I would ask the EPA if they have a water  
17 monitoring data on that.

18 MS. MULKEY: Again, if we could try to fit that into our  
19 presentation on water.

20 DR. KENDALL: I agree. Let's proceed. Dr. Portier.

21 DR. PORTIER: This does bring up another issue for me as I

46

1 think about the comments I want to write down since I will miss the  
2 last two days and get some of them read before the panel.

3 None of the questions on the risk characterization actually ask  
4 us about the 10X safety factor and whether you want a comment on  
5 that. I won't ask you to give me guidance on that, since I'll use my  
6 own guidance on whether to tell you what I think about that. My  
7 question is will we be seeing a final version of this for comment at  
8 some later point at which point we will at least see whether you've  
9 decided to use 10X or not and then can comment on it. Do you know?

10 MS. MULKEY: We are working through the issue of how to  
11 analyze the 10X in the context of cumulative risk assessment and, also,  
12 the question of what kind of peer review, public participation, is  
13 appropriate. So we don't right now have a definitive time line and plan  
14 of action on that.

15 I will mention we have had out for extensive public process the  
16 approach for the individual chemical 10X analysis. And we expect to  
17 have our revised or final paper on that within this month. We also  
18 expect to put out for comment an approach to 10X in the context of  
19 cumulative risk assessments. That's a generic one not an application  
20 to the OPs. And that we're going to put that out for public comment in  
21 this month.

47

1           So that's been sort of the first waive is to sort through our  
2 articulation of the generic approach and then we'll be working through  
3 initially internally how we analyze that with reference to the  
4 organophosphates. Obviously, issues of uncertainty and sensitivity are  
5 the key elements of that. And there are many things in what we're  
6 consulting with this panel about that go to these questions. So there's  
7 no question that this consultation will inform our work on that,  
8 although we have not identified a very specific question relating to the  
9 FQPA safety factor.

10           DR. PORTIER: Thanks.

11           DR. KENDALL: Any further points? Okay. Thank you very  
12 much, Dr. Sass. We will continue on. We have three presenters  
13 speaking on behalf of Food Quality Protection Act Implementation  
14 Working Group, Mr. Botts, Mr. Driver, and Mr. Zabik. They've  
15 requested 45 minutes for the three of them, what I assume to be an  
16 integrated presentation or separate. Can you do it in 45 minutes?

17           MR. BOTTS: Hopefully, we will do it in 45 minutes.

18           DR. KENDALL: Are you Mr. Botts?

19           MR. BOTTS: I'm Mr. Botts.

20           DR. KENDALL: Thank you. Welcome. State your name and  
21 affiliation for the record, please.



1           MR. BOTTS: My name is Daniel Botts. I work for the Florida  
2 Fruit and Vegetable Association. And my real job, I direct the  
3 Environmental and Pest Management division of that organization  
4 which is a grower organization representing the fresh fruit and  
5 vegetable industry in Florida.

6           One of my unpaid jobs, among many, is being the vice chairman  
7 of the Implementation Working Group which was created after FQPA  
8 passed to provide a coordinated input into the process as the Agency  
9 moved forward to the aggregate risk exposure assessment to the  
10 cumulative exposure process to final decisions. Hopefully, it will meet  
11 the time schedules that are proposed in the law so we don't have to go  
12 through other issues associated with that process.

13           That bit of personal background is to provide some input on  
14 why we're here today and what we wanted to do. We did submit a  
15 series of written comments that were, hopefully, distributed to the  
16 SAP to address a lot of issues. And rather than go through those  
17 specifically today, not only are the two persons that are going to join  
18 me this morning going to make presentations, but some of those other  
19 issues will be covered in the panels appropriate to the topic matter as  
20 they go forward. And we appreciate the SAP allowing us to split those  
21 comments up, to be able to make them directly to those panels that

49

1 will be dealing with those issues.

2 Just in the way of general comments, I would like to echo my  
3 sentiments and more personal as both a member of the food safety  
4 advisory committee, the track committee, and the carrot committee  
5 among others. And my other unpaid job with EPA I have been  
6 involved since 1996 and looking forward to the day that we get to the  
7 point of a cumulative exposure assessment.

8 If somebody had asked me as a nontechnical person whether it  
9 would be possible to do what the Agency has put on the table today, I  
10 would have said it was impossible. Just knowing the little bit that I do  
11 about pesticides application, having been involved in commercial  
12 agriculture prior to going to work for the Association.

13 I think the cumulative assessment in the preliminary mode that's  
14 in front of us represents a significant achievement by the Agency. But  
15 having said that, there are further refinements that need to be made to  
16 the document if we're accurately going to reflect the exposures that  
17 are produced by the use, not only in agriculture, but other uses of  
18 pesticides that are out there.

19 To echo some of Jennifer's comments relative to the  
20 transparency of the issue, the Agency has gone a long way towards  
21 making the process totally transparent. I would suggest that I think

50

1 I've been to 80 percent of those 24 SAP meetings and other processes  
2 brought forward by the Agency to try to get to the point where we are  
3 today. And in my other life, in my real job, I'm supposed to translate  
4 that to my membership who are actually out there doing the work of  
5 applying pesticides.

6 And transparency, also, has an understanding component and  
7 just listening to the discussion so far and other scientific advisory  
8 panels, there's a translation to get it down to the level of  
9 understanding where my grower membership will understand the need  
10 for regulation of crop-management tools that they've been using for  
11 the last 40 years with the expectation that their use of their products  
12 had not created a problem.

13 From that standpoint, if we do lead to regulatory action against  
14 those products, it needs to be communicated in a manner that's  
15 understandable so when we explain it to the growers at the farm levels,  
16 they understand why they are being asked to modify longstanding  
17 agricultural practices.

18 The most apparent issues associated with this cumulative  
19 assessment, if you go to the CD-ROM and pull down all the data files  
20 behind the written text to look at what's there, it becomes readily  
21 apparent that this is a data-intensive process. One of my concerns

51

1 since day one has been is the appropriate data available to be able to  
2 do the type of thing we're asked to do in a cumulative assessment; and  
3 then to follow on to that from the data that is out there, are we using  
4 it appropriately. Are we looking at it in the right manner, are we  
5 taking the information that's there and utilizing it into the models and  
6 tools in the most appropriate manner.

7 Our written comments to the SAP, which were circulated,  
8 reflect a small level of frustration in that they are preliminary pending  
9 the results of the review of this comment will be writing extensive  
10 comments relative for the March 8 comment period to capture both  
11 what's discussed today and other issues that are being brought forward  
12 through our own internal review process. And would I hope that both  
13 the Agency and the SAP would take those comments them in the spirit  
14 that they were given. They're meant to be constructive and in a  
15 manner of continuing a dialogue with the Agency to ensure that, as we  
16 move forward to making the final discussions, we're doing it in the  
17 best possible way.

18 Having said that and the major points, the general points, in  
19 relation to the preliminary OP exposure assessment that you had you  
20 before you, there's some general overriding questions that I have to  
21 answer to my membership. And these are my words not necessarily the

1 reflection of the IWG. But it builds upon their comments.

2 First of all, does a preliminary OP cumulative assessment utilize  
3 the existing data in the appropriate manner. I've got to be able to tell  
4 my membership it does and understand how you got to the points that  
5 you reached.

6 Are the methods used appropriate to support the risk endpoints  
7 identified? If we're looking at brain cholinesterase level and using  
8 different acute endpoints to look at what drives the risk equation, if  
9 this is appropriate, how do I explain that to my membership.

10 And probably the last and most important to my membership,  
11 because we're the people that use these products, we are the people  
12 who are exposed both occupationally and through our field  
13 interactions and often times through being on the farm with the  
14 products as they're used, is the assessment appropriately conservative  
15 to be protective without overstating risk to the point of taking our  
16 tools away from us unnecessarily.

17 Having given you that general background, what I'd like to do is  
18 bring the rest of the group up that's going to be making presentations  
19 on behalf of IWG. The first will be Dr. Jeffrey Driver from  
20 Infoscience.com, Inc., followed by Dr. Jack Zabik from Dow  
21 AgroSciences. The other participants in the process are identified on

53

1 your agendas and will come forward during the appropriate panel.

2 I would be happy to answer any questions, but I assume I'm not  
3 going to get nearly the technical questions that Jennifer got.

4 DR. KENDALL: Mr. Botts, I'm assuming that you were part of  
5 working group that developed the January 31, 2002, comments to the  
6 panel here on behalf the Food Quality Protection Act Implementation  
7 Working Group; is that correct?

8 MR. BOTTS: Those are the ones that we submitted on behalf of  
9 the IWG; right.

10 DR. KENDALL: Okay. And then the next several speakers will  
11 build on this document.

12 MR. BOTTS: Will build on that document, yes, sir.

13 DR. KENDALL: I was particularly impressed in my review of it  
14 with your summary. And I'm assuming that your additional speakers  
15 will elucidate how you came to the summary recommendations.

16 MR. BOTTS: I assume so.

17 DR. KENDALL: Any further points of clarification for Mr.  
18 Botts? Thank you, sir.

19 Mr. Driver. Welcome. Please state your name and affiliation  
20 for the record.

21 DR. DRIVER: Yes. My name is Dr. Jeffrey Driver. I am a

54

1 toxicologist by training with Infoscientific.com. We've been serving  
2 as a consultant to a variety of industry groups over the years, and I'm  
3 happy to be back for yet another presentation.

4 DR. KENDALL: Welcome.

5 DR. DRIVER: The presentation, you have a handout. I'm  
6 shifting gears a little bit so if you could just be patient with us. I'm  
7 focusing in on the residential component of the cumulative risk  
8 assessment. Some of the comments that I will make will be  
9 overarching in terms of statistical issues and other issues applicable  
10 really to dietary and drinking water as well in the overall assessment.

11 My comments focus on the residential and the role of one  
12 particular group, the Residential Exposure Joint Venture, the REJV, in  
13 providing critical information for doing scientifically based credible, if  
14 you will, calendar-based modeling of residential product use and  
15 exposures.

16 The REJV is conducting a 12-month, a temporal product use  
17 survey. This is a representative survey instrument across the United  
18 States. Thousands of U.S. households involved. It is a diary  
19 instrument that people use to record, literally, each pesticide product  
20 they use during the course of each day of each month for 12 months.

21 Obviously, that's an ambitious effort to maintain an adequate

1 sample size of participants for a 12-month period. There's a nationally  
2 recognized survey firm, NFO, a worldwide group who is conducting  
3 the survey. They have experience with temporal survey instruments.

4 The records that people are keeping in these diaries provide  
5 some very important critical inputs into the residential component of  
6 the modeling effort that EPA has put forth. This includes things such  
7 as the site of application, the method of application used, the  
8 frequency and timing of the use. Again, since we're doing temporal,  
9 calendar-based modeling, obviously, time is a critical element. As we  
10 said before in previous presentations, time, space, and demographics  
11 are three categories that we want to maintain consistency across  
12 individuals and within individuals in these simulations.

13 This survey is designed specifically for probabilistic  
14 calendar-based modeling. In my opinion, and that of the REJV, it's  
15 really required, in fact, for calendar-based modeling in the same way  
16 that CSFII you have to have some fundamental survey instrument to do  
17 dietary or drinking water. CSFII has been serving that purpose, albeit  
18 with some limitations, again with two or three diaries. But here we  
19 have an opportunity to have a 12-month diary profile for a statistically  
20 representative sample of individuals.

21 The survey started -- we had to go through a pilot process,



1 obviously. That was a three-month pilot. We started the did  
2 definitive survey in May of last year. We currently have May and June  
3 data sets that have been processed and are data based and we have an  
4 ongoing dialogue with EPA, CAL EPA Department of Pesticides  
5 Regulations, and Health Canada, regarding the results of those months  
6 that we have. So the data are coming in month by month.

7       Just to give you an overview of where these data fit in  
8 specifically. One of the key aspects of any residential assessment is  
9 focusing in, especially if you want to be more realistic is focusing in  
10 on which products people actually use. So when people record this  
11 diary, or fill out the diary, the key index is the EPA registration  
12 number. They record the EPA registration number of the product  
13 they're using as well as the product name. So they give us a way to  
14 check in case there's an incorrect entry for the registration number.

15       Obviously, with that information, you can then link it to other  
16 data bases that give you the active ingredient information, label  
17 instructions, et cetera.

18       The treatment interval. When you use a product, based on  
19 efficacy of the product, pest pressures that you're dealing with,  
20 obviously people may use a similar product frequently throughout the  
21 course of the year. It differs by geographic region and pest pressures

57

1 that are indicative of different regions, and the conditions that support  
2 pest populations. So that treatment interval when you think of  
3 calendar-based modeling again is very, very important.

4 Household-related information, what exposure scenario does it  
5 fit into. You're applying it to a lawn or ornaments or pets, et cetera.  
6 That information is captured in the survey. Obviously, getting an idea  
7 of the proportion of user versus nonuser of products in the U.S.,  
8 whether it's a professional or consumer-applied product.

9 The use-related information. You can just go up to the right  
10 top there, Jack, and click the stop button, the left top. My apologies.

11 Use-related information. You can see on the slide.  
12 Demographics. Obviously, you want to understand the geographic  
13 location, age, gender, information about the household's presence or  
14 absence of children, entire profile of the household members. I had  
15 mentioned method of application. That's key, particularly, looking at  
16 applicator exposures, seasonality of the use, day of week. There are  
17 differential probabilities we find with weekend and weekday use with  
18 different product use or categories.

19 The next bullet is very important. I'll hammer on this a couple  
20 more times. Co-occurrence of product use. When you start looking at  
21 upper percentiles of these output distributions for cumulative risk

1 assessments, aggregate assessments, you start and you need to drill  
2 down and figure out what's going on. You find out that people are  
3 using more than one product not surprisingly. And while that can  
4 occur, you need to associate a realistic probability with the co-  
5 occurrence of use. And this survey estimate gives you an empirical  
6 basis to derive that probability.

7       The annual number of uses. That's another input that goes into  
8 this product use event allocation across the market share. Obviously,  
9 you want to accurately represent the proportion of people using the  
10 products and who those people are.

11       The current status. As I mentioned, the definitive survey was  
12 initiated in May of 2001. Diary results are being reported monthly,  
13 processed monthly. The May results involved greater than 14,000  
14 pesticide applications from greater than 6,000 U.S. households. Data  
15 files are from compatible for use in CARES by the REJV member  
16 companies.

17       Next slide. If you could look at your handout it would probably  
18 be more meaningful than this Powerpoint. This gives you an idea of  
19 just some of the data fields that we have access to that we can process.  
20 If you look at the top, obviously, each person has an NFO ID number  
21 on the top. Starting with demographics, this example happened to be a

59

1 white female from Michigan and some associated information.

2 Under that is the initial inventory that this household had in  
3 place in their home when they started participating in the survey.

4 These are the products they had in their garage and their closets, et  
5 cetera.

6 Then you have this application section. Obviously, they are  
7 recording the month, the day. This co-occurrence is our derivation.  
8 You can see there were three co-occurring events, if you will. There  
9 were three situations, three days. This happens to be July, August,  
10 and September. Three occurrences where more than one product was  
11 use. We know exactly which products they there, where they applied  
12 them. We can attached the associated method of application, label  
13 rates, et cetera, to derive expose estimates for this household.

14 This give you a feeling for the kind of information that the  
15 survey provides.

16 And that is my last slide. Jack Zabik will now follow-up with  
17 some work that we're doing with the CARE software that takes  
18 information like the REJV is eventually obviously is an ongoing  
19 survey. But the CARES software, we're hoping to use as a  
20 constructive tool to provide EPA and put on a cumulative risk  
21 assessment.

1 DR. KENDALL: Any points of clarification? Dr. McConnell.

2 DR. MCCONNELL: I have one quick one here. I was  
3 fascinated by your presentation. I have one question. If I were asked  
4 to do something like this, I would find it incredible task just with all  
5 the other work I have to do. How do you get people to do this? What  
6 is the incentive? How do you get them the on that 11th month to be as  
7 careful as they were the first month?

8 DR. DRIVER: There are a variety of features to the survey  
9 instrument. First of all, there's a screening process. By the way,  
10 there's know incentive. This is a voluntary process, believe it or not.  
11 The National Family Opinion Worldwide Group has decades of  
12 experience of doing these types of surveys. They have North  
13 America's largest pre-recruited panel of survey participants. So they  
14 have a large sort of standing group of people who, in concept, will  
15 agree participate in surveys of different durations, different purposes,  
16 et cetera. There's know monetary incentive here.

17 What they do, obviously, is select a statistically representative  
18 sample of these people through a screening process. We're focusing  
19 on pesticide users I should point out. You don't want to waste  
20 people's time for 12 months of the year if they really are not users of  
21 pesticides. There are some quantitative definitions of what we use to

61

1 define a user versus a nonuser.

2       You also, obviously, want to make sure your survey can  
3 differentiate. Are there any demographic or statistical differences  
4 between users and nonusers to make sure that you've picked people  
5 that are representative or that you know why they're different from  
6 nonusers.

7       Anyway, the process is the own person's interest in this subject,  
8 if you will, biases, not withstanding perhaps. But again NFO  
9 representatives have done this. They really have what they feel are  
10 statistically representative samples. What you do is you have to over  
11 sample dramatically at the beginning of a survey like this. You might  
12 start out with 15,000 house holds. At the end of a 12-month period,  
13 you may end up with only 300 who have finished all 12 months.

14       However, partial month or partial year people, you know,  
15 people who complete surveys, still valuable data there. If you've  
16 completed say 9 of 12 months or if you've completed maybe 3 of 12  
17 during a high pesticide use season, you still want to look at those data  
18 and glean whatever value you can.

19       But what we do want for the calendar-based modeling is a static  
20 sample of a representative number of households at the end of 12  
21 months. So you have to do some dramatic over-sampling.

1 DR. KENDALL: Thank you.

2 DR. DRIVER: That's why it's very expensive.

3 DR. KENDALL: Dr. Portier.

4 DR. PORTIER: I sincerely hope you don't get a 99-percent  
5 dropout rate. That would be catastrophic in terms of the actual data.

6 I applaud the survey and the idea of doing a survey. But let me  
7 get to the practical matter at hand. What does this have any bearing  
8 on our discussions about EPA's cumulative risk assessment? You  
9 haven't shown me any examples of the real analysis of the first few  
10 months of the data. Are we going to see that?

11 Do any of those data violate or support any of the assumptions  
12 EPA has done? Will we see some of that?

13 DR. DRIVER: Well, you know, it's a timing issue quite frankly.  
14 The survey was initiated in May. Obviously, we have two-months  
15 worth of data so far. The answer is, yes, we will be sharing the  
16 information with EPA and hopefully the panel, examples with the  
17 panel. We hope that might happen at the next meeting. For us maybe  
18 at the end of April beginning of May. I think it's a timing issue.

19 We're trying to bring these data to bear as quickly as possible  
20 for EPA's August deadlines. There are just logistical issues in doing  
21 that.

1           Do we think the data are applicable? Yes, we do. We've been  
2           looking OP use that we have months for, data for rather, in May and  
3           June. We do see use of disulfoton, other compounds. So we can start  
4           to look at how the frequency of use reported and the products that are  
5           being used compared to EPA's market share estimates and the  
6           frequency.

7           We haven't been able to figure out exactly how EPA's  
8           assessment is estimating use across the year. We need to figure that  
9           out. And then we'll be able to use these data, hopefully, to validate or  
10          evaluate the predictions. But we're working on it. It's work in  
11          progress.

12          DR. KENDALL: Dr. Freeman.

13          DR. FREEMAN: Jeff, I was wondering whether the people who  
14          were doing the survey have it written in Spanish to reach the  
15          Spanish-speaking population.

16          DR. DRIVER: The Hispanic population. NFO is definitely  
17          sensitive to that issue. My understanding there is a multilingual  
18          opportunity there. I'd have to get back to you on that particular. I  
19          know the issue came up originally. I think the only demographic strata  
20          that may be underrepresented for reasons that it is in the U.S. census  
21          and other groups, might be the Hispanics and African Americans in



64

1 certain socioeconomic strata. But I can get you a response to that  
2 later.

3 DR. FREEMAN: Yes. The other thing on the demographics,  
4 particularly, in terms of the cumulative risk assessment that we're  
5 dealing with now, did they, also, collect the age of the children in  
6 households?

7 DR. DRIVER: That's correct. Yes, age and gender.

8 DR. KENDALL: Dr. Herringa.

9 DR. HEERINGA: Yes. Thank you very much. It caught my  
10 attention definitely when you started talking about population-based  
11 collection here. I have several questions.

12 Has NFO provide you a sample design document or a study  
13 protocol description that you could share with the members of the  
14 panel?

15 DR. DRIVER: I certainly will make that request.

16 DR. HEERINGA: I think that will be very, very helpful. The  
17 second question I had, and I think you've answered and that is: From  
18 their large prerecruited panel, which has some selectivity in it already,  
19 they have sort of stratified through a screening process intensified the  
20 sampling of people who have some propensity to use pesticides.

21 Is there any oversampling of farm communities, farmers, farm

65

1 families, orchard growers, greenhouse operators?

2 DR. DRIVER: Good question. We considered that issue.  
3 There are monetary restraints in dealing with the survey. Our goal  
4 was initially to try and be representative on various criteria: age,  
5 gender, geographic region. There are several others. But, again,  
6 getting at higher use subpopulations, we considered that but it was  
7 cost prohibitive. We figured that was a likely follow-up opportunity  
8 for individuals or other groups to sponsor surveys that focused in on  
9 those.

10 DR. HEERINGA: Also, if you haven't done it already with  
11 NFO, I encourage you to preserve the results of this screening.

12 DR. DRIVER: Yes, we have.

13 DR. HEERINGA: That is your only link back to the  
14 population-based activity use patterns and other data sources like  
15 human activity use pattern survey.

16 DR. DRIVER: That's a very good point.

17 DR. HEERINGA: It's going to be quite critical here because  
18 you're obviously concentrating these uses in fairly small segment of  
19 the population. It's very important, but it's concentrated.

20 DR. DRIVER: Very good point. And, in fact, we are doing  
21 that.

1 DR. KENDALL: Dr. Adgate.

2 DR. ADGATE: Do you know if you can use this with your own  
3 model? Is the data going to be formatted in such a way that it will be  
4 fairly easy to get this into CALENDEX as well?

5 DR. DRIVER: The REJV, that we're dealing with is proprietary  
6 at this point. The future of it... I think you're pointing out a very  
7 good suggestion, and I certainly agree with it. It's not my data to  
8 choose to provide it to other parties. So I think it's a good idea.

9 In my view, I think a survey of this type, this type of survey  
10 instrument really in the future should be conducted with Federal  
11 money in an analogous way that we're doing the CSFII. I think there's  
12 an opportunity here. If we're going to be doing calendar modeling in  
13 the future, why shouldn't be we collecting some type of survey data for  
14 residential product use in the same way we look at dietary. And that  
15 would perhaps make things publicly available in a totally transparent  
16 way.

17 DR. KENDALL: Very good. Dr. Bull.

18 DR. BULL: Thank you. Interesting project. I had one real  
19 quick question that related to some things brought up a minute ago. I  
20 notice in your list here you have a lot of products that are not OPs.

21 DR. DRIVER: Oh, yeah, in that example.

1 DR. BULL: Yeah. And the data base is apparently more useful  
2 than just OPs as well. What about these phased-out products? Are  
3 they, also, captured in here?

4 DR. DRIVER: You bring up a couple of interesting point.  
5 What about phased-out products? What about new AIs and their  
6 products in the future? We've contemplated that. That's part of the  
7 ongoing dialogue with EPA, CAL EPA, Health Canada.

8 With phased-out, with both categories, our current thinking is  
9 that we would use relevant substitutes, surrogate products, that are in  
10 the data base.

11 Well, let me qualify first. The phased-out products in the  
12 context of the cumulative risk assessment, they're not included,  
13 diazinon, chlorpyrifos. There are some phased-out or already removed  
14 actives that are not included in EPA's cumulative risk assessment. We  
15 wouldn't necessarily use those products unless there were  
16 substitutions. If there were other OPs that could be credibly  
17 substituted for those products's uses, then we would pick surrogates  
18 for that purpose. And the same way with new AIs. You pick  
19 surrogates that exist.

20 DR. BULL: I'm mostly concerned about the fact that if there's  
21 any place that the phase-outs are going to have a longer life than they

68

1 will in open commerce is in somebody's garage.

2 DR. DRIVER: That's a point to bring up.

3 DR. BULL: I've know people that have things that have been  
4 banned 25 or 30 years ago still in their garage. And it should be part  
5 of a cumulative risk assessment. My question is: Are you collecting  
6 that kind of data in these inventories for each household? If you've  
7 got that, then you've got --

8 DR. DRIVER: Yeah. The inventories reflect what's really  
9 there. So you do find phased-out products. I think again, you know,  
10 all modeling should be as simple as possible but no simpler. You do  
11 have to prioritize what you include in these cumulative risk  
12 assessments. I mean, in a way, you could argue this type of  
13 accounting system would give you a more accurate -- you know, you  
14 could use the inventory as is and do some great empirically based  
15 modeling and that's fine.

16 There are, also, practical reasons why you have to narrow down  
17 the universe of products and labels that are registered for these types  
18 of assessments. That's kind of a practical reality, I guess.

19 DR. KENDALL: Any further points of clarification? Can we  
20 move forward? Thank you, Dr. Driver.

21 DR. DRIVER: Thank you.

1 DR. KENDALL: Very much. Dr. Zabik, welcome.

2 DR. ZABIK: Jack Zabik. AgroSciences on behalf of the IWG  
3 and SSPA. I want to thank the SAP and EPA for a chance to comment.  
4 What we're here today is to give an update on where we're at with an  
5 OP case study that we're conducting using the industry CARES  
6 cumulative risk model. Go to the next slide, Joe.

7 And I'll share credit with those who are really doing the work  
8 on this. And I have to say that we really look at this as building upon  
9 EPA's tremendous effort. And perhaps it would be most appropriate  
10 to go back to some of the slides shown earlier of all the EPA folks that  
11 have been involved in putting together this assessment because we're  
12 really building and refining on what they already done, which is a  
13 tremendous effort.

14 What we planned to do with this case study is, first, a national  
15 dietary assessment. We'll first go through, or actually are in the  
16 process of going through, and rerunning the assessment with the EPA  
17 inputs. Then we're going to go back and refine these inputs using  
18 processing information which we feel is more appropriate. Also, there  
19 was a number of crops that were included that did not have tolerances  
20 for us so we'll go back and refine based on that.

21 With residential, given timing, et cetera, we're going to focus in

70

1 on Region 12. Region 12 represents Florida. And we're going to look  
2 at all 9 OPs used in this region. And we think this is a good region  
3 because of the intensive use down there. It's year round. Many use  
4 patterns are incorporate here.

5 This simulation will be based on refines inputs. We'll correct  
6 for some errors in label application rates, some scenarios that don't  
7 really exist, nonregistered uses, and also hit co-occurrence  
8 probabilities.

9 The methodology for CARES in this assessment will to be use a  
10 reference population which is a sample of the U.S. census. We use  
11 statistics to match to other key data bases such as CSFII.

12 The CARES dietary module based on 365-day profile of the  
13 consumption derived from CSFII on temporal and demography  
14 matching criteria such as age, gender, et cetera.

15 The CARES residential module will include product use event  
16 allocation that allows for co-occurrence probabilities and,  
17 particularly, incorporation of the data that Jeff just discussed, the  
18 REJV survey data which gives us really good longitudinal  
19 understanding.

20 The 365-day profiles maintain geographic, demographic. And  
21 temporal specificity. And one thing we think is really key with this

71

1 assessment is output analysis which includes both contribution and  
2 sensitivity.

3 Some of the software features that we think are key is the  
4 modular design. It makes it easy to adapt and expand to accommodate  
5 new methodologies or new situations required for an assessment. This  
6 includes things that we think are very important such as moving  
7 averages, being able to easily correct errors and, also, using  
8 alternative data sources such as REJV.

9 The data base engine for this allows for input and output data  
10 file management, so you can see what type of data you're asking and  
11 how it's being used, and has import export features.

12 This case study which is well underway is going to be submitted  
13 to the EPA by the March 8 deadline. And, then, it's anticipated that  
14 the CARES Version 1 software will also be submitted to EPA in March  
15 for SAP review in April and May.

16 One of the areas we really want to look at this morning is the  
17 whole issue of contribution analysis. If we look at this example of  
18 EPA output which is for Region 12 for children, it gives MOE result  
19 and methamidophos equivalents. And one of the key problems we find  
20 with this is not being able to determine what the key drivers are to this  
21 assessment. And, of course, without the key drivers, it's very difficult



72

1 to make risk mitigation decisions.

2 If we go to an example of the CARES output, shown here is an  
3 output across all percentiles by dose. We can, also, do exposure. And  
4 the key point here is you can look across the entire percentile range  
5 and then pick the percent you're interested in and drill down from  
6 there to determine what the key drivers are.

7 And this is important from two aspects. One is from a QA.  
8 aspect. Obviously, you want to be able to determine if there's any  
9 unrealistic scenarios driving the assessment. For instance, if you're  
10 adding up exposures and the exposures add up to more than 24 hours  
11 for a day, then you need to be aware of that. Also, it's obviously, key  
12 to be able to drill down for risk management decisions.

13 If we look at the next slide, this is really focusing in on a  
14 narrower band of percentiles. Again, this is looking at dose. But we  
15 could look at exposure for the different routes. And from here, you  
16 can pick a very narrow slice of the percentile to drill down even  
17 farther.

18 And this is really a top-level contribution look. And here you  
19 can see that we're looking at the percent contribution either by  
20 chemical, source, or route. And this the capability of this program  
21 allow us to drill down even farther. For instance, with residential,

73

1 we'll be able to drill down to what scenarios may be driving the  
2 assessment, what compounds might be driving the residential  
3 assessment, or other co-occurrence issues.

4 Likewise, with dietary, you'll be able to look at what specific  
5 commodities are driving the assessment or if there is a particular  
6 consumption pattern that is driving the assessment.

7 That really wraps up what I have to present this morning. I  
8 would like to make everyone aware that there is a web site you can  
9 look at for more information on CARES. It's [alfacares.org](http://alfacares.org). And as  
10 you know, there are some additional attachments that we provided to  
11 give more information on CARES.

12 I would like to thank you everyone for their time, and I'd be  
13 happy to answer questions or at least divvy them out to key people.

14 DR. KENDALL: Any questions for Dr. Zabik? Dr. McConnell.

15 DR. MCCONNELL: I have one question. I applaud you for  
16 instigating this exercise. But I wonder how I am to use it in my  
17 exercise this week. It's all in the future. What is in it this for me  
18 today?

19 DR. ZABIK: I guess this gets back to something Jeff  
20 commented on, which is timing. We have been moving we very rapidly  
21 to get the software finalized and out and get this case study

1 completed. The software will be available later this year. It's publicly  
2 available. And we're working to that extent to get it out. And the  
3 case study, we'll put into the docket by March 8. It really comes down  
4 to a timing thing. Obviously, we would like to have it out right now.

5 DR. KENDALL: Dr. Driver, you need to use the microphone.

6 DR. DRIVER: We're thinking that what we can hopeful do is  
7 present the CARE software, this will be the end of April, some of the  
8 panel members may not be at that particular meeting. But we will be  
9 able to share the results of the case study at that time. It's a race  
10 against time for all of us.

11 DR. KENDALL: Thank you. Dr. Roberts.

12 DR. ROBERTS: A quick question. On your case study, in  
13 terms of your ability to incorporate your REJV data, you have two  
14 months of data; is that what you're plugging in or one month or how  
15 are you going to get this? I'm wondering how you're to get this just in  
16 a couple of months.

17 DR. ZABIK: Yeah. I think in that case we're going to, because  
18 of timing considerations, we're going to give a specific example of  
19 how the REJV data can be very helpful but it won't be totally  
20 incorporated into this assessment. And that is both a timing and, also,  
21 this whole issue of proprietary.

1 DR. DRIVER: One of the aspects of the CARE software is to  
2 take the major survey data bases that are used statistically match them.  
3 Our reference population in CARES is a statistical sample of the U.S.  
4 census. Based on demographically criteria, we match those individuals  
5 to the individuals in the CSFII. Our goal is to also similarly match  
6 people to the REJV survey participants.

7 For purposes of EPAs decision-making, what we're hoping we  
8 can help with -- you know, summer months are peak-use seasons for  
9 some of the OPs. So we will be able to look, I think, some good  
10 examples as Jack mentioned.

11 DR. KENDALL: Dr. Zabik, you were, also, part of the Food  
12 Quality Protection Act Implementation Working Group.

13 DR. ZABIK: Yes.

14 DR. KENDALL: And you were part of development of the  
15 document dated January 31, 2002.

16 DR. ZABIK: Parts of it.

17 DR. KENDALL: I'd like to read this for the panel. The first  
18 sentence in the summary, "EPA has made tremendous progress along a  
19 difficult road into uncharted territory as it has developed the  
20 methodology for cumulative risk assessment and applied it to the  
21 organophosphate pesticides." Do you stand by that statement, Dr.

76

1 Zabik?

2 DR. ZABIK: Yeah, I think it has been a tremendous effort.

3 DR. KENDALL: The final sentence in that summary is, in  
4 quotes, "The sound methodology developed here provides the firm  
5 foundation for policy decisions yet to be made." Do you stand by that  
6 statement, Dr. Zabik?

7 DR. ZABIK: Having not wrote that statement specifically. I  
8 mean I'll give my opinion. I think that this has been a tremendous  
9 effort. But I think there are clear areas for refinement. And this is  
10 what we're trying provide with the CARES case study is looking at  
11 some of both the errors that have been made in the assessment and,  
12 also, some methodology issues and refine that and move forward and  
13 build upon what has already been done by the EPA.

14 DR. KENDALL: Excellent. I commend you. I'd like to one  
15 additional question. What is the level of interaction with the Agency  
16 as you're developing this case study? Is it high? Medium? Low?

17 DR. ZABIK: I'd say high and very good.

18 DR. KENDALL: Excellent, excellent. I thank you. Dr. Portier.  
19 No? Go ahead.

20 DR. PORTIER: Two questions. Is the CARES software, even  
21 though being public available, is source code going to be available?

1 DR. ZABIK: I think I'll refer that to my good friend, Jeff.

2 DR. DRIVER: All of the source code, the code associated with  
3 the methodology, will be available. They are third-party proprietary  
4 tools that get used in these software packages. We don't have access  
5 to the code. These are some things like graphing features and the  
6 underlying data base engine. Just like with Microsoft Access, you're  
7 not going to have access to all of the source codes. So it's not all  
8 100-percent available, period.

9 DR. PORTIER: But the third-party software that are available  
10 are all general tools software like data base management, like  
11 graphics, like statistical analysis tools.

12 DR. DRIVER: Yeah. Everything except the data base  
13 management engine is a proprietary too. Again, I don't see that  
14 prohibitive in any means. What's real important is the code associated  
15 with the actual algorithms and the methodology to use to transform  
16 any data and estimate the output as well as the Monte Carlo sampling  
17 schemes, random number generation.

18 DR. PORTIER: In one of your bullets you pointed out that the  
19 CARES residential module includes a product use event allocation  
20 procedure that allows for co-occurrence probabilities. Can you give  
21 me some idea about what you mean by co-occurrence probabilities and

78

1 how you're using them in this module, especially for the example that  
2 was just shown?

3 DR. DRIVER: Well, if you think about a 365-day profile that  
4 you're trying to create for each individual in a given subpopulation or  
5 the overall population, obviously, there are probabilities associated  
6 with the likelihood that an individual will use a product to treat their  
7 lawn and on the same day, any given day during the year, also use a  
8 second product to treat another site, for example, ornamentals or to  
9 use the same products on multiple sites. You might mix up a batch,  
10 treat your ornamentals, your lawn.

11 So that's what we mean by co-occurrence, using the same  
12 product on multiple sites, using multiple products on multiple sites.  
13 Those are the things we're trying to get at. Because, obviously, you're  
14 again, trying to reach credible estimates of exposure, particularly at  
15 the upper percentiles. And I think now the 99.9 is highly controversial  
16 in the sense that we don't have robust data to support these extreme  
17 percentiles. And if we can't do contribution and sensitivity analysis to  
18 get at issues like co-occurrence, we can't make credible decision. I  
19 think we've got to be careful about.

20 So what I mean by co-occurrence is something someone using,  
21 again, multiple products for multiple products on the same day or the

79

1 same product for multiple sites on the same day. You need a survey  
2 instrument to derive the probability of that.

3 DR. PORTIER: So your co-occurrence probabilities are not, in  
4 fact, longitudinal; they are in fact co-occurrence probabilities on a  
5 single day times...

6 DR. DRIVER: That's correct. Except you're maintaining -- you  
7 can create a probability profile for an individual across time. So --

8 DR. PORTIER: I'm curious --

9 DR. DRIVER: -- you do get a temporal structure.

10 DR. PORTIER: -- longitudinal co-dependence -- co-occurrence  
11 probabilities. Do you -- have you used them in the example we're  
12 looking at here?

13 DR. DRIVER: No. No, we haven't.

14 DR. PORTIER: And if you did, how would you do it?

15 DR. DRIVER: We haven't yet. And as I told you, you know,  
16 this is race against time if you will. We're developing the  
17 methodology, working through case studies where we have used it. I  
18 have not shared at this presentation.

19 DR. KENDALL: Thank you, Dr. Driver. Thank you, Dr. Zabik.  
20 We really appreciate your comments. Mr. Botts, I just wanted to tell  
21 you, sir, when you sat down, you said you and your group would



80

1 achieve your goal in 45 minutes. And you have indeed done that, sir,  
2 and I thank you for doing what you said you were going to do.

3 At this point, we're right on scheduled. We will take a break for  
4 15 minutes and reconvene at 10:45.

5 [Break taken.]

6 DR. KENDALL: Okay, we will reconvene the meeting. We are  
7 in our public discussion period. And the next registered speaker is  
8 Adam Goldberg. Mr. Goldberg.

9 MR. GOLDBERG: Good morning. My name is Adam Goldberg,  
10 and I am a policy analyst with Consumers Union. These comments are  
11 submitted on behalf of Consumers Union and the Institute for  
12 Environment and Agriculture. And as was eluded to earlier, much of  
13 the credit belongs to Dr. Charles Benbrook for the comments.

14 We have, also, submitted them in written form. But I'm sure  
15 you haven't received them yet from the EPA staff. The written  
16 comments contain additional information beyond what I'm going to  
17 present today. And they are much more expansive.

18 DR. KENDALL: Very good.

19 MR. GOLDBERG: Members of this Panel know all too well that  
20 it has been a long road to the this point -- your review of a near-final  
21 cumulative organophosphate risk assessment methodology is now

81

1 nearly final. This is your 12th meeting focusing just on the OP CRA  
2 and or the selection of an appropriate toxicological endpoint for the  
3 OP CRA. We appreciate your efforts and your durability.

4 While the Agency has not been able to accommodate all your  
5 suggestions and requests for more elaborate analytical approaches,  
6 they have responded well in our judgment to the most important  
7 technical recommendations and suggestions regarding how to assure  
8 that the outcome of the future OP CRA is based on the soundest  
9 possible scientific methods and data.

10 A measure of the major progress made by EPA over the last five  
11 years is reflected in the narrow scope of most of the questions you  
12 have been asked to address during this meeting. At last, the end is in  
13 sight for the phase of the process, leading to a point we wish to  
14 emphasize. It is time for EPA to move from the methodology  
15 development phase cumulative OP risk assessment process. It is time  
16 run the numbers and to progress to the risk mitigation phase.

17 We applaud for the OP risk mitigation actions taken thus far.  
18 Likewise, some OP registrants deserve recognition for putting public  
19 health before profits by voluntarily agreeing to phase out high risk  
20 residential and urban uses. But more needs to be done as is abundantly  
21 clear from a review of the results of the December 2001 Cumulative

1 OP Assessment.

2 Accordingly, we hope that your report will both provide  
3 guidance to the Agency regarding where and how it can further  
4 improve the technical foundation OP-CRAs while also stressing that  
5 the underlying methodology and data bases are sound and will support  
6 refined assessments of the relative contribution of risk from various  
7 OP crop combinations.

8 I'd like to briefly touch on the role of BMDs in setting the size  
9 OP risk cup. Our written comments are more expansive on this point.

10 Much will be said during this meeting on the Agency's proposed  
11 estimates in uses of benchmark doses. Without doubt, the last  
12 remaining critical science policy judgment EPA must make before  
13 moving to the risk mitigation phase is determining what level of  
14 exposure for children is consistent with a reasonable certainty of no  
15 harm, the basic standard imposed by the FQPA as EPA reviews  
16 existing and sets new tolerances.

17 This is a cautious and health protective standard. It is stricter  
18 than the benefit risk balancing standard driving EPA decision-making  
19 before August 1996. Note the standard calls for a reasonable certainty  
20 of no harm, not some level but heretofore acceptable level of harm.

21 Based on past Agency actions and current FQPA science

1 policies, as case could be made for three approaches in establishing  
2 the size of the cumulative OP risk cup and/or minimally acceptable  
3 MOEs.

4 One, the acute population adjusted dose of methamidophos, the  
5 reference chemical. Two, the benchmark dose for methamidophos  
6 used in establishing relative potency factors along with a standard 100  
7 fold safety factor plus an additional FQPA safety factor. Three, a  
8 weight-of-the-evidence approach taking into account all data and  
9 knowledge of methamidophos toxicity including both its acute  
10 cholinesterase impacts and developmental impacts to the extent they  
11 are known.

12 The second approach was used in the analysis reported in the  
13 December 2001 methodology report, although no decision has been  
14 made regarding the need for an FQPA safety factor. Hence, the results  
15 in the December 2001 report reflect OP-CRA outcomes as if EPA  
16 decided no additional FQPA safety factor is needed. This is an  
17 extremely unlikely outcome.

18 We urge the SAP in the report following this meeting to offer  
19 the Agency its recommendations regarding whether and how BMDs  
20 should be used in establishing the minimum MOE that the Agency  
21 should insist upon for the child at the 99.9th level of exposure. The

84

1 SAP will no doubt get another opportunity to review and comment on  
2 the approach EPA ultimately chooses to follow.

3 But in the interest of reaching closure and avoiding further  
4 delay in reaching the risk mitigation phase, we hope you will speak to  
5 this critical issue in this round of review and comment.

6 I'd like to discuss relative potency factors for a moment. We  
7 have supported the Agency's continued refinements in its  
8 establishment of RPFs and considered the current BMD approach to be  
9 a major step forward. We understand why over the last two years the  
10 SAP has urged the Agency to move in this direction and hope the SAP  
11 is pleased now with the Agency's basis for setting RPFs.

12 Industry scientists have argued and may again assert during this  
13 meeting, that a 10-percent inhibition brain cholinesterase function is  
14 hardly distinguishable from natural variation or from background  
15 levels. They instead will likely argue for a BMD20 reflecting a  
16 20-percent inhibition of cholinesterase function instead of 10 percent.

17 If the purpose of BMDs is just to establish relative potency  
18 factors, it would make sense for the Agency to chose a point along the  
19 lower end of the calculated BMD dose response curve for each OP that  
20 is as statistically robust as possible, hence minimizing the chances of  
21 error in the magnitude of RPFs.

1           Accordingly, the Agency should make a determination of  
2           whether the confidence limits around RPFs would be significantly  
3           narrower if base on the BMD20 as opposed to BMD10. We doubt that  
4           moving to a BMD20 from a BMD10 would affect statistical reliability  
5           very much. Plus, even if the Agency made the change it will mater  
6           little in final RPFs. Indeed, the Agency could even calculate an  
7           average RPF based on several points long the BMD curve. The results  
8           would be very similar to BMD10 or BMD20 approach.

9           While it might be defensible to use a BMD20 in setting RPFs,  
10          EPA cannot say with a straight face to the American public that a  
11          20-percent inhibition of brain functions represents quote "no harm."  
12          It is even difficult to make this case with BMDs based on a 10-percent  
13          inhibition. And EPA should seriously consider a lower limit as has  
14          been advocated earlier by NRDC.

15          I'd like to turn, now, to the PDP. In CU's extensive analysis of  
16          dietary risks based on the same PDP data supporting EPAs OP-CRA,  
17          we have consistently found that just a few food pesticide combinations  
18          account for the largest share of risk. EPA sensitivity analysis lends  
19          strong further support to the conclusion that relatively few food  
20          pesticide combinations are true risk drivers and that regulatory actions  
21          targeting them can eliminate most OP dietary risk while leaving largely

1 unaffected the majority of OP food crop uses.

2 To estimate the degree of OP risk reduction achieved through  
3 implementation of the FQPA, EPA should also complete and publish an  
4 official FQPA OP baseline assessment of risk reflecting the frequency  
5 and residues found in food in the mid 1990s.

6 EPA decided to exclude from its most recent OP-CRA all illegal  
7 residues found in food by the PDP as was discussed earlier during the  
8 comment period. CU has analyzed the share of total OP risk  
9 accounted for by illegal residues in PDP data through 1999. We  
10 concluded that illegal residues account for a little over five percent of  
11 total risk.

12 If EPA were to include these residues in future OP-CRAs, we  
13 would expect a comparable approximate 5 percent increase of risk  
14 levels across the exposure distribution. Put simply, we believe that  
15 excluding illegal residues from the OP-CRA is bad science and  
16 inconsistent with the clear language of the statute and we'd be happy  
17 to discuss at some other point, individually or collectively, the data  
18 that we've gathered on illegal residues through PDP data if it would  
19 help the members of the SAP.

20 Thank you for the opportunity to provide these comments. We  
21 look forward to your report and forthcoming applications of the

87

1 Agency's cumulative OP risk assessment methodology.

2 DR. KENDALL: Thank you, sir. Any points of clarification  
3 from the Panel?

4 DR. BRIMIJOIN: I have a question, although I hate revealing  
5 ignorance in public. I think I've got to get clear on this and maybe  
6 somebody else does as well.

7 It seems to me that there are two ways to use benchmark dose  
8 data. And one is just for comparing the relative potency, the relative  
9 toxicity, of a number of different compounds. The other is the  
10 regulatory decision about what is a starting point or what is a safe  
11 level.

12 DR. KENDALL: Right.

13 DR. BRIMIJOIN: It seems to me that these two points are  
14 being grossly confused in the current discussions, both the comments  
15 we heard earlier this morning from Dr. Sass and the present ones. If  
16 that's not a confusion, if both of these individuals are correct that in  
17 going to a central measure of toxicity like BMD10 or BMD50, even,  
18 we are, in fact, recommending that this is not a harmful level of  
19 exposure and that this would be a good point, a safe dose, to regulate  
20 from.

21 If that's correct, that needs to be made clear to me and everyone



88

1 right now. If it's simply a matter of deciding whether compound A is  
2 3.5 or 4.7 times more potent than compound B, then the decision  
3 should be made where do we have the best dose response data, where  
4 can we make the tightest analysis. And we don't need to pick  
5 particularly low dose just to make people feel comfortable that, oh,  
6 we're picking a dose that's nearly safe.

7 DR. KENDALL: Right. Good point. Mr. Goldberg, would you  
8 to address that point or question?

9 MR. GOLDBERG: Well, as one of the previous commentators  
10 said, I'd love to be able to turn this to somebody who is a little more  
11 knowledgeable than me. So I'd like to ask Jennifer to address this.

12 DR. BRIMIJOIN: Actually, I think somebody from EPA ought  
13 to comment on this.

14 DR. KENDALL: I am going to ask EPA would they like to  
15 respond. Margret?

16 MS. STASIKUWSKI: Yes, I will ask Dr. Vicki Dellarco to  
17 respond to the question.

18 DR. KENDALL: Thank you. Dr. Dellarco.

19 DR. DELLARCO: We've had a lot of discussions about the  
20 benchmark response level that we might use for this class of common  
21 mechanism chemicals. When we first went to the SAP back in 2000,

1 September 2000, we had to use the ED50 as some of you who were  
2 there remember that. And then when we went back and we got Dr.  
3 Setzer involved in the modeling, we brought forth the concept of using  
4 a slope scaling factor, the M value. And there was a lot of discussion  
5 at the September 2001 meeting about the use of M for looking at --  
6 and I'm talking about potency right now, comparing potency. Or  
7 maybe going down and using a benchmark 10.

8 And I believe there's some written comments about using a  
9 benchmark 10 to compare potency, and we decided it was the best way  
10 to go because of the issues of parallel dose response relationships. So  
11 we wanted to go as low as we could reliably to estimate potency in an  
12 empirical range of observation. And this, also, happens to be the same  
13 benchmark response that we'll use for the point of departure.

14 That doesn't mean that will always be the case. But for every  
15 cumulative assessment that we do, the benchmark response we might  
16 use to compare potency may be very different from the point of  
17 departure. And in this case it is. There's been a lot of discussion and  
18 thought on this.

19 Do you have anything to add, Anna or Woody?

20 DR. KENDALL: Dr. Bull, I think you're first.

21 DR. BULL: This is just a point of clarification and don't

90

1 necessarily need to have an answer right now. But I'm a little  
2 confused by this issues we're addressing in cumulative risk assessment  
3 by compounds that have common mechanisms and then dealing the  
4 FQPA factor which is adjusting for some effect on development. And  
5 maybe somebody can educate me as to whether cholinesterase  
6 inhibition always leads to developmental delay or some compounds  
7 that are organophosphate pesticides also have developmental toxicities  
8 because I don't see how you combine those two, if, in fact, the  
9 mechanisms for developmental toxicity and cholinesterase inhibition  
10 are not related. And there are certainly possibilities of that occurring.

11 So if someone can tell me that every cholinesterase inhibitor at  
12 some level of cholinesterase inhibition causes developmental delays or  
13 other reproductive toxicities, I'd be really tickles to know that. But I  
14 don't know that off the bat.

15 DR. KENDALL: Dr. Harry, do you want speak to that briefly?  
16 This point keeps coming up. We've been challenged this morning --  
17 Dr. Dellarco, do you want to comment. Dr. Durkin, I have not  
18 forgotten you.

19 DR. DELLARCO: Usually about the sensitivity or susceptibility  
20 to this class of pesticides has come up this morning twice. And the  
21 issue about the children's safety factor, the FQPA 10X fault factor

91

1 that's under the law. And we will be conducting a separate analysis,  
2 pulling together. We are currently pulling together data on  
3 cholinesterase inhibition that's been conducted with in utero exposures  
4 as well as postnatal exposures. And we will be looking at that and  
5 comparing it to the adult data that Woody will be discussing today.

6 And so the analysis that you'll hear today about looking at  
7 relative potency and the point of departure is focused on the adult  
8 animal studies. But we will have to do this analysis. And as Marsha  
9 indicated and Margret pointed out, we've taken a very careful  
10 step-wise approach to get to where we are at today in laying down  
11 guidance documents and tools.

12 And as Marsha pointed out, we will be putting out our final  
13 guidance for how you make a decision about a kid's safety in looking  
14 at the issue of sensitive and susceptibility in single chemical  
15 assessment. That should come out soon. And following that, we will  
16 have separate guidance on how you do this in a cumulative assessment  
17 where you're focused on a common effect and common mechanism.  
18 And we'll put that out for public comment.

19 So there is some literature on this. And some of these OPs do  
20 show some sensitivity. That's been published. And some of them  
21 don't. So we're going to have to look at this in total and see what it

1 means in respect to the group.

2 With respect to the susceptibility, I believe that's the issue you  
3 raised: What kind of effects can you get in terms of developmental  
4 delays and effects on cognitive function? We don't really know. And  
5 Dr. Brimijoin can probably speak to this because I believe he's done  
6 some work. But Acetylcholinesterase is an important neuromodulator  
7 during development. It is an important mechanism to look at when  
8 you're looking at sensitivity and susceptibility.

9 DR. KENDALL: Dr. Harry?

10 DR. HARRY: I think you answered a number of the questions in  
11 which the framework to consider in what we're talking about this  
12 week. And I think when a number of the comments came up about  
13 referencing to the developmental neurotox guideline tests, the answer  
14 of saying, one, this is focused on the adult; and, two, that to look for  
15 the components for the children's susceptibility is the next step. We  
16 need to remember that framework as we're going through today.

17 I think you're going find it very difficult when you start trying  
18 to do this in developmental. One of them is going to be there is a  
19 good amount of effort and a good amount of data on the adult. We  
20 still have to come in -- I still come in with a question of what's  
21 adverse. And you're going to have that even more when you get

1 developmentally. We're not going to have that data in the  
2 developmental. We're going to have made an awful lot of assumptions  
3 based upon the adult data. And I think you're going to need a lot more  
4 data and understanding of the basic biology of that to be able to truly  
5 make these different.

6 The other caution I would raise in there of automatically  
7 assuming that the developing animal is more sensitive than the adult  
8 based upon the two testing guidelines is that your developmental  
9 neurotox guidelines are much more intensive than the adults are. You  
10 deal with a cognitive functioning. I don't know how much you have on  
11 organophosphate on the adult on cognitive functioning or learning  
12 component. But you do have that in the developmental.

13 And you have a number of other components of the  
14 developmental that we're sort of still waiting on data on for some  
15 validation of those. And that's what was brought up with the Makris  
16 Study that 13 chemicals have been triggered. It was still in question  
17 about how much more sensitive that testing guideline really was for  
18 picking things up.

19 So you got a lot of other things involved in that developmental  
20 aspect that you're going to have to work out before you even get to  
21 this point on it. Just to raise a caution on that.

1 DR. DELLARCO: Let me just since you mentioned the Makris  
2 Paper and that came up this morning. That paper wasn't really  
3 intended to look this issue sensitivity and susceptibilities to OPs. And  
4 although it was mentioned that the Panel may want to look at it, we'll  
5 be more than happy to provide it to you. But it's not really going to  
6 get at the heart of the issues on this.

7 DR. KENDALL: Very well. Dr. Durkin.

8 DR. DURKIN: Just briefly, there was a point made about the  
9 two uses of the benchmark dose; one is point of departure; the other  
10 for relative potency. And I think I heard Vicki say that in some cases  
11 you may use something like an ED40 or whatever for relative potency  
12 and an ED10 or ED1 for a point of departure.

13 The one thing I think you have to keep in mind with the OPs and  
14 any extrapolation of this method to other chemicals. If you have a  
15 dose response function or a class of them where potency is constant  
16 across doses as in what you had originally done with probative  
17 analysis, then it doesn't really matter where you measure the potency,  
18 although the variability of the relative potency can vary.

19 With the OPs, especially the kinds of much more complex model  
20 that you have now, relative potency in that sense is no longer a  
21 meaningful term. Relative potency will vary with dose. So for the

95

1 OPs, I think you are in a situation that once you decide on your  
2 benchmark dose, be it an ED10 or ED1 or whatever, that is indeed the  
3 dose or the response level that you have to use to define relative  
4 potency. So that at least within the context of your point of  
5 departure, relative potency is indeed the ratio of equitoxic doses. And  
6 as long that isn't violated, you're going to be fine.

7 But I think you have to be a little careful about talking about  
8 using some other region of the dose response curve for the kind of  
9 model you have now, even though your confidence intervals might be  
10 narrower, that measure of relative potency would not be appropriate  
11 for the point of departure that you may have selected.

12 DR. KENDALL: Good point. Any further points of  
13 clarification for Mr. Goldberg. Thank you. Thank you, sir. Dr.  
14 Portier.

15 DR. PORTIER: Just to make it clear that we've had two sort of  
16 disjoint comments about the use of relative potencies and the point of  
17 departure. And I want to make sure when we get into the Panel  
18 discussion, we get back to this because I may or may not agree to  
19 either one of the two.

20 DR. KENDALL: I intend to do that.

21 DR. PORTIER: I think that's something we have to discuss.



1 DR. KENDALL: We intend to do that. I didn't it's appropriate  
2 to do that resolve that right here. It's on the table. I think we  
3 understand.

4 We've have had one additional presenter that would like to  
5 approach the Panel. Ray McAllister.

6 MR. MCALLISTER: My name is Ray McAllister. I am the vice  
7 president for Science and Regulatory Affairs for Croplife America. In  
8 my work with the Implementation Working Group, it was my  
9 responsibility to coordinate the assembly of the written comments  
10 which we submitted to you. And I felt it was important to take just a  
11 few moments and respond to Dr. Kendall's question earlier on the  
12 summary statements and those comments.

13 He asked specifically about the final statement, "Sound  
14 methodology developed here provides the firm foundation for policy  
15 decisions yet to be made." Our comments were assembled quickly.  
16 And even when you have the opportunity for a lot of people to review,  
17 it's not unheard of that someone else reads it and finds a different way  
18 to interpret it.

19 What we intend by that statement is that the sound methodology  
20 that comes out of the cumulative risk assessment process that is being  
21 developed now must provide a firm technical foundation for policy

1 decisions yet to be made. The development of sound methodology is  
2 the responsibility of EPA as well as a number of other contributors  
3 including in a large part this Panel that the Agency has consulted and  
4 also the stakeholders who are involved in providing the data that goes  
5 into the risk assessment and who are involved in helping the Agency  
6 with interpretations of that information. And that was our intention  
7 with that statement.

8 DR. KENDALL: Very well. Any points of clarification for Mr.  
9 McAllister? Thank you, sir.

10 At this point, are there any other persons desiring to approach  
11 the Panel in the public comment period? We allocated an  
12 extraordinary amount of time to try to accommodate this. And I was  
13 concerned in the early phase of this because I didn't know if we could  
14 get through it, but we've moved very quickly.

15 DR. TOBIAS: Abraham Tobias with Adventis Cropscience.

16 DR. KENDALL: Welcome.

17 DR. TOBIAS: In the discussions earlier there were questions  
18 asked whether there were data concerning spouse and children on the  
19 farm. I'd like to remind the Panel, and maybe to inform the Panel that  
20 under Croplife America and several companies within that organization  
21 we are running a study. This is a companion to the NCI study which is

1 looking at cancer risk for the farmer, their spouse and children. We  
2 have done a study. We are in the final stages of completing that study  
3 where we will get to the issue of what children and spouses are being  
4 exposed to.

5 From our preliminary study, range finding study, we're not  
6 finding much exposure to those subpopulations. And I think our final  
7 study will bear out that information and will give the Agency much  
8 more information on that front to be able to say that the exposure is  
9 very, very minimal or nonexistent. I just wanted to bring up to the  
10 Panel that we will be coming up to the table with more data.

11 DR. KENDALL: Excellent. Is this regional based or national  
12 based?

13 DR. TOBIAS: It's paralleling the study that is run by NCI  
14 which was done in Iowa and South Carolina. Excuse me. North  
15 Carolina. We mimicked that study, and we mimicked the  
16 questionnaires, the epidemiology aspect. If you're going to get into  
17 the epidemiology questions, I'm the wrong cowboy to answer those  
18 question. So I can't answer those.

19 DR. KENDALL: We thank you, though, for updating us, Dr.  
20 Tobias. Dr. Portier. Just a minute, Dr. Tobias. Do you have a  
21 question for him?

99

1 DR. PORTIER: Yes.

2 DR. KENDALL: Dr. Tobias, can you reapproach the  
3 microphone. We have Dr. Portier with a question.

4 DR. PORTIER: First of all, I'll play nice, Chris. I'll point out  
5 that the pesticide study is both NCI and NIEHS just to make sure  
6 everybody hears that.

7 DR. TOBIAS: I apologize. I didn't meat to slight you or  
8 anybody else. And it is part of EPA, too. I better apologize to them  
9 for making that error.

10 DR. PORTIER: More importantly, do you have any preliminary  
11 data to show us now?

12 DR. TOBIAS: We're in the final.

13 DR. PORTIER: Actually look at quantitative numbers and make  
14 --

15 DR. TOBIAS: Yes. We're in the final stages of doing the QA  
16 aspects on a lot of these numbers. And plus checking our field checks  
17 and everything else so that before we come out with some preliminary  
18 information that we, at least, are on a solid basis from the analytical  
19 point of view. So we will be ready to give some preliminary  
20 information out on that shortly. I can't promise you what date or time.

21 Let me just plead a little ignorance. I haven't been in touch to

100

1 that for the last month due to some personal things, so I have to bring  
2 myself up on to speed on a lot of the issues that we're working with  
3 that right now. I am the treasurer for that group, and they do want me  
4 to sign some checks. So I will figure out a lot of things.

5 DR. PORTIER: So in terms of our debate this week there's  
6 nothing for us to look at.

7 DR. TOBIAS: I can try to get you what we did in the  
8 preliminary study, our range finding study. And I think we may have  
9 some data from the first year of the study because it was a two-year  
10 event. We looked at it over two years. And we wanted spatial and  
11 temporal issues on the study. So, yeah, I may be able to get some  
12 information to you. But I wanted to make sure the Panel did know  
13 that and Agency will get that information.

14 DR. KENDALL: Thank you very much. Dr. Conolly.

15 DR. CONOLLY: I guess this is a question for the chair as much  
16 as anything. We've heard a number of presentations this morning  
17 about I think very important studies that are underway, collecting  
18 data, which obviously could impact cumulative risk assessment for  
19 organophosphates. And we've also heard question about whether  
20 information is available in a way that actually let's us usefully evaluate  
21 the assessment that the Agency's presented to us or will be presenting

101

1 to us over the next couple days.

2           What I may be looking for is a bit of guidance here about what  
3 our job is. You know, in risk assessment, those of you who have  
4 looked at the cancer guidelines recently, for example, it's carefully  
5 laid out there how there are default approaches to risk assessment  
6 which can be carried on with minimal data sets and much more  
7 complicated approaches that require rich data.

8           And I think we seem to be faced with a similar situation here  
9 where we have a methodology that can go forward with currently  
10 available data and alternative approaches that will require much richer  
11 data sets and which might not be doable today, might be doable in a  
12 year, or two years or five years.

13           I think it's important that this group be clear on what it is we're  
14 here to evaluate today. Is it sort of this richness that might be  
15 pursuable in the future or looking just at what's on the table today  
16 and, you know, what the Agency has to work with today?

17           DR. KENDALL: I'd like to ask Ms. Stasikuwski to respond to  
18 that. Margaret.

19           MS. STASIKUWSKI: Yes. In my presentation, I described our  
20 obligations under FQPA. And we are discussing today the preliminary  
21 risk assessment that we need to finalize in the summer of 2002. So we

102

1 are discussing that which you have reviewed, and that's the crux of the  
2 discussions this week.

3 DR. KENDALL: What I see happening, Dr. Conolly, there  
4 additional projects underway that can contribute to, additionally  
5 validate, and make this whole process more robust I believe. So,  
6 again, this is a continuum we're working on. Yet the Agency has  
7 certain time lines they've got to respond to and I think our job is to  
8 continue to offer and contribute to the acceleration of their efforts to  
9 bring the preliminary cumulative risk assessment to the table. And I  
10 think they're doing it.

11 I'd like to ask the EPA -- at this point, this will close the public  
12 comment period. And I'd like to ask, and we are ahead of schedule.  
13 And we're significantly ahead of schedule. So I'd like to ask the EPA,  
14 would you like to proceed with your introductory comments from  
15 Dr. Lowit or would you like to go ahead and take our break.

16 MS. STASIKUWSKI: Just consulting Anna. Are we ready?

17 DR. KENDALL: The Chair would like to take our break at 12  
18 noon, therefore, we have 45 minutes. Would you like to engage us for  
19 the next 45 minutes?

20 MS. STASIKUWSKI: We're just consulting on time.

21 DR. LOWIT: We might run a little long but too much longer

103

1 than 12.

2 DR. KENDALL: Would you like to proceed or we could  
3 reconvene at 1. I'm a little reluctant to reconvene. I think a lot of  
4 people, Dr. McConnell questioned the point of view of start at 1. I'm  
5 afraid people may be traveling in to see the dialogue beginning at 1:30.  
6 I would like to ask if you can start and I would like to stop at 12 noon.

7 DR. SETZER: Well, actually, my only concern was that at 12  
8 noon I might have 10 minutes left and a couple of three slides.

9 DR. KENDALL: Okay. Then let's do it. Dr. Portier.

10 DR. PORTIER: I'm going to propose differently in that because  
11 this is such an important topic and because people may actually be  
12 traveling here at 1 o'clock, not only to hear public comments, not only  
13 to hear the Panel, but also to hear EPA's comments on what they have  
14 done in their defense of this. I would move unless we have more  
15 public commentators or a specific topic we want to discuss now about  
16 the approach we are going to take to reviewing this that we close this  
17 session until 1 o'clock as stated in the calendar.

18 DR. KENDALL: It's 1:30. I would --

19 DR. PORTIER: I know it puts us potentially in a tight spot this  
20 afternoon. But, again, this is a very complicated risk assessment, it  
21 covers a number of issues, and I'm a little bit concerned about us



104

1 moving too quickly on it.

2 MR. LEWIS: I think just managing the time, I'd like to  
3 comment. I'd really like to see us use the extra hour we have because  
4 we do have a tight agenda. And we have notified everyone that this is  
5 a flexible schedule, that with the complexity of the issues being  
6 discussed and the public commenters that they have to be prepared  
7 that it might be 1:30; it might be 1 o'clock; it might be 12 o'clock; it  
8 might be earlier.

9 So I understand your issue, but I've also very concerned that we  
10 do have the time. And I think we're going to need it today, so I'd like  
11 for us to try to use it. Thank you.

12 DR. KENDALL: All right. Let's proceed, EPA, and we will  
13 reconvene at 1:30. Let's go ahead. That way we will attempt to  
14 address Dr. Portier's point of view of people traveling in if they can't  
15 get here until 1:30. Let's go ahead and try to move there are your  
16 presentation. Is this okay, Margret?

17 MS. STASIKUWSKI: Yes, we're ready.

18 DR. KENDALL: Very good. Thank you. Let's proceed.

19 DR. LOWIT: As we look at time, I'll try to go quickly so I can  
20 concentrate on what Dr. Setzer is going to talk about. I'll reiterate the  
21 same appreciation to the Panel that you heard and will hear in the next

105

1 few days.

2 In 1999 the Agency released a document identifying the  
3 organophosphates a common mechanism. And, subsequently, we have  
4 concentrated on the inhibition acetylcholinesterase as the endpoint for  
5 the cumulative risk assessment.

6 The hazard and dose response portion of the assessment has  
7 been to the Science Advisory Panel now three times. The first time in  
8 September of 2000, followed roughly one year later with a preliminary  
9 hazard and dose response assessment, which we'll call the July  
10 Document. And what we'll discuss today is the revised preliminary  
11 hazard dose response assessment which we'll call the December  
12 document.

13 The hazard and dose response assessment includes 29 OPs that  
14 have exposure through either food, water, and/or residential and  
15 ongoing as a determination of roles of potency for 3 more:  
16 chlorethoxyphos, profenofos, and phostebupirim. Just to remind  
17 everyone, to put it in context, we are using the relative tox potency  
18 method where each chemical is compared to an index chemical and we  
19 are using methamidophos as the index and exposure equivalents as  
20 you'll hear in the next few day, of the index chemical are combined in  
21 the assessment.

1           The toxicity data used in the assessment come from oral,  
2           dermal, and inhalation studies tested in rats of subchronic and chronic  
3           exposure, and the exact same data in the July Document was, also,  
4           used in December. And just for reference, the electronic data set of  
5           all the oral cholinesterase data and not only the brain compartment but  
6           the plasma and red blood compartments is available on the internet.

7           We're going to concentrate in the next hour or 45 minutes on  
8           four key major refinements. One was the relative potency factors used  
9           in the preliminary assessment, the method for combining the  
10          cholinesterase data molding of the low dose region of the dose  
11          response curve, and also the measure used as a potency determination.

12          In the July Document, male red blood cell cholinesterase was  
13          proposed as the end point. Red blood cell cholinesterase inhibition  
14          will continue to be an appropriate endpoint for risk assessment. But  
15          RBC was selected primarily based on the availability of a large data  
16          base and our ability to consider time course information. And the  
17          males were selected over the females for not a very good reason.

18          In the December Document, the female relative potency factor is  
19          based on female brain cholinesterase inhibition were used. And why  
20          was the brain used? All though red blood cell cholinesterase is an  
21          appropriate end point for risk assessment, the confidence limits on the

107

1 potency estimates for brain were much tighter than those of red blood  
2 cell. Also the brain is target tissue for OPs.

3 Why were the females selected over the males? The sexes were  
4 equally sensitive for most of the OPs with the exception of roughly  
5 five for which the females were more sensitive. And this is my rode  
6 map sign to turn it over to Dr. Woody Setzer who will discuss the  
7 methods used.

8 DR. SETZER: Good morning. I thought I was going to be  
9 saying good afternoon.

10 In this talk I want to do essentially four things not with equal  
11 weight. First of all, I want to review the methods that were used in  
12 the July draft and bring you up to speed and remind you what we did  
13 before and what you all commented on and talk, briefly, about the  
14 issues that were raised in the September meeting that we addressed in  
15 this analysis and tell you that how we addresses those issues; and,  
16 finally, since release of the December Document, I've done work since  
17 then and I'll talk about that as it's appropriate during the discussion.

18 Overall, the SAP supported the approach that we used. In  
19 particular, they were happy with the exponential model using multiple  
20 studies and time points. And I was happy to see accommodation for  
21 using open source software package to do the analysis to facilitate the

108

1 communication and oneness of the analysis.

2 It was recommended that we look further at the low-dose end of  
3 the dose response curve in response in part of some commentors at  
4 that meeting. And there's a comprehensive list of all the comments in  
5 the cumulative risk assessment available at the web site indicated  
6 there.

7 Just to remind you, in the July Document, which the September  
8 SAP commented on, was based on a dose response model expressed  
9 here. It was essentially an exponential model with a modification to  
10 allow us to estimate an horizontal asymptote. And we were using what  
11 we've been calling the dose-scaling factor as a measure of potency in  
12 that analysis.

13 In July, we followed a strategy. We have multiple data sets.  
14 And so you've got to figure out how you're going to get a single  
15 estimate out of multiple data sets for a given chemical. In July we  
16 estimated a value of potency for each individual data set and then used  
17 a statistical approach to nested to essentially a sort of a population  
18 model to nested data to estimate an overall mean potency.

19 And the point of this slide here is to indicate that what we have  
20 are sort of major studies with individual data sets nested within those  
21 studies, and then what we're trying to do is estimate an average

1 potency, sort of an average of those studies.

2       Finally, to estimate the parameters for the model in the July  
3 Document, we used an approach called generalized least squares.  
4 We assumed the constant coefficient of variation. What that means is  
5 variance, within group variance, was presumed to vary according to  
6 square of the mean. This is a sort of situation where scientists like log  
7 transform their data or when they express variability, they like to talk  
8 about coefficients of variation instead of standard deviations.

9       That's a common. That's a common sort of structure to find for  
10 these biochemical data and that was the weighting scheme we used in  
11 that analysis.

12       Also used a sequential approach to fitting. And the reason for  
13 this was it wasn't always possible to estimate all the parameters to the  
14 data. And, also, we found that occasionally we didn't get -- we  
15 weren't able to describe the data with the model given.

16       The first step was to fit the full model to all the data. And then  
17 if we didn't get convergence or estimates to all the parameters or the  
18 fit was inadequate, we would repeat the following process. First, set  
19 the parameter that quantified the horizontal asymptote to zero, refit to  
20 the data set. If it still doesn't work, drop the highest dose and keep  
21 going until you run out of doses or you get a good model.

1           Here are some problems and issues from the September SAP  
2 report. First of all, the approach we used to estimating the horizontal  
3 asymptote could result in bias estimates. Remember, we're setting B  
4 to zero if something doesn't work right. It turns out that N is  
5 sensitive to that estimate of B. So if we base our potency estimate on  
6 N and set B to zero, you're potentially introducing some bias.

7           It was suspected that the weight function used underestimated  
8 the variance at low doses and overestimated at high doses. So we  
9 needed to revisit that decision.

10           And, finally, the dose response curves for some chemicals  
11 appeared to have a shoulder at low doses; in other words, it didn't  
12 drop straight down like an exponential model but was more horizontal  
13 for a range of doses before the curve steepened. And we wanted to try  
14 to address that.

15           The changes I want to talk about today. First of all, we changed  
16 the way the models were expressed in terms of the parameters. We  
17 reparameterized the model. It's essentially the same model we're  
18 using; we're just expressing it in terms of different parameters that  
19 make the model a little bit easier to estimate.

20           Secondly, use the reciprocal of the benchmark doses as a  
21 measure of potency instead of m as recommended by the SAP. That

111

1 has several benefits. One of them is that the benchmark dose turns out  
2 to be substantially less sensitive to the estimate of the horizontal  
3 asymptote than is the potency. So we're winners there.

4 And, finally, rather than fit a model to each individual data set  
5 and then combine estimates, we combine the data sets and then fit  
6 what's called a nonlinear mixed effects method -- and I'll talk about  
7 what that means before I stop talking anyway -- to estimate that  
8 model.

9 One of the problems -- the issue of setting B to zero and then  
10 going from there, it was one of the probably two potential sources of  
11 the bias in the last estimate that we could relatively easily address.  
12 One of the changes we made in this analysis is to use a profile  
13 likelihood approach to estimate a value of B that's consistent with the  
14 data when we can't estimate is jointly with the other parameters.

15 The goal there is to identify a value of the horizontal asymptote,  
16 well, like I said, consistent with the data. It's plausible. And then  
17 condition the analysis on that value.

18 One of the consequences of adopting of strategy of fitting  
19 models to a number of data sets was we have more dose levels  
20 available and it's a bit more possible to develop a model to describe  
21 the low dose shape of the curve.



1           We also changed the weight function. We set the weights to be  
2           proportional to the mean value and not the squares of the mean value.  
3           That seems to improve the sort of the scatter of the residuals plots.  
4           And I'll talk about the more when I give dose-specific detail.

5           Okay. Let's go through the models, the various forms of this  
6           simple model. At the top, I show you the July model we started off  
7           with. It's perhaps easiest to understand this first reprioritization in  
8           terms of the units involved. This first model we have parameter B and  
9           a parameter A. Both of them are in terms of response units. And,  
10          actually, partially in response to some confusion that happened among  
11          the discussion in the September SAP, it made sense to reparameterize  
12          the model to pull out one parameter that contained units in terms of  
13          response units, factor that parameter out, and A then has always been  
14          the background or the control estimate of the control cholinesterase  
15          activity level.

16          And then there's this parameter  $P_{sub B}$  which is a fraction that  
17          ranges between zero and one. Makes it a fraction. Which is just this  
18          ratio B over A. So instead of estimating B and A, we're estimating A  
19          and the ratio of B over A. Same model, just a different approach.

20          And then, finely, and this is something that I've done since the  
21          December release. This looks much more complicated, but it's not

1 really. It's just algebraically more complicated. We have, again, the  
2 same model but instead of using the slope parameter  $m$ , scale  
3 parameter  $m$ , we reparameterized the model in terms of benchmark  
4 dose. And in all the calculations, we've set benchmark response level  
5 to correspond to a 10 percent reduction in mean cholinesterase  
6 activity.

7       The main advantage of this is that the estimate of  $B$  and  $D$ , as  
8 we said before, tends to be substantially less dependent on the  
9 estimate  $P$  sub  $B$ . So when we do estimates with this model, we're  
10 somewhat more stable numerically.

11       Advantages of the current model, more stable estimation. And  
12 it, also, simplifies computation of the benchmark doses and standard  
13 errors since it happens in one computation instead of two.

14       And just to keep me honest, the parameters we actually  
15 estimated were the log of the parameter  $A$ , the log of the benchmark  
16 dose, and this logistic transform of  $P$ . Those are mainly to assure  
17 during the estimation process the parameter values stay legal because  
18 the software that I was using doesn't allow to put bounds on the  
19 parameter estimates correctly. At least not easily.

20       The model fitting. We use the approach called nonlinear mixed  
21 effects models. This is really a rubric for a whole suite of different

1 approaches to dealing with population models. The particular  
2 approach I used the is codified in a function N, L, and E in the  
3 software package R. It's based on an approach developed by Doug  
4 Bates and his coworkers. And Doug Bates -- well, who is also the  
5 author of the software package.

6 Essentially, the approach is this. For each parameter that we're  
7 estimating, we assume that there is a separate mean value. And in our  
8 case, for example, for the background level, we assume there is  
9 separate mean value for each sex by unit combination.

10 Let me digress for a second. What I mean by that is not all our  
11 studies used the same units for measuring cholinesterase activity. And  
12 it's not always obvious that you can just do a simple conversion to get  
13 from one unit to the other because they imply somewhat different  
14 methods for measuring cholinesterase activity. It made more sense to  
15 keep those sort of separate approaches separated out. And then we  
16 estimated a separate value for B and for the log benchmark dose for  
17 each sex.

18 Superimposed on that, we have multiple studies for the same  
19 chemical and multiple data sets for each study. And we treat the  
20 parameters. We treat each of those sort of individuals levels of the  
21 nesting, as if they had their own mean parameter level and they vary

115

1 around. So for example, the mean value for the studies varies around  
2 the overall population mean level and the mean level for the individual  
3 data sets vary among the mean level for the study. And this is,  
4 essentially in somewhat nontechnical language, this is the approach  
5 that LME uses.

6 And, finally, as I said, before, we've used weights based on  
7 presuming that the error variances were proportional to means. This  
8 is determined empirically. It seemed to work better. It seemed to  
9 describe the variation better than did the previous weight function.

10 We still had problems with getting --

11 DR. KENDALL: One moment. Dr. Portier.

12 DR. PORTIER: Simply a question of process for this. Again,  
13 this is a fairly complicated analysis that we're looking at and Dr.  
14 Setzer is about to go from the description of the model to the  
15 description of the methods used to estimate parameters in the model.  
16 And I'm wondering if this is maybe not a good point to stop and have  
17 questions for Dr. Setzer before he goes onto, again, more complicated  
18 and other issues or not and whether my colleagues feel that is a good  
19 idea or not.

20 DR. KENDALL: Dr. Heeringa.

21 DR. HEERINGA: Just a very quick clarification to this point.

116

1 In terms of random effects in the nonlinear mixed model, you're  
2 effectively nesting data sets within studies.

3 DR. SETZER: That's correct.

4 DR. HEERINGA: So you've got a nested random error term  
5 there. Okay. Just as a little bit of background for me in terms of the  
6 numbers of observations we could typically find at each of these levels  
7 of nesting at any given data set for a study would have multiple  
8 dosing. Would it have replications at each dosing?

9 DR. SETZER: I'm sorry. There were probably slides from the  
10 previous presentation I should have included here. Each individual  
11 data set is a complete dose response study. It would be typically  
12 three, four occasionally five or more doses at each dose group. You'd  
13 have anywhere from 4 to 10 animals. And actually the data reported to  
14 us are in terms of means and standard deviations from those studies.

15 DR. HEERINGA: Means and standard deviations across animals  
16 for each dose level.

17 DR. SETZER: That is correct.

18 DR. HEERINGA: And then separate data sets for each study if  
19 they replicated it.

20 DR. SETZER: I'm sorry. Yeah, right. The separate data sets  
21 normally correspond to different durations of exposure.

117

1 DR. HEERINGA: I see. Thank you.

2 DR. SETZER: So the study would have been, for example,  
3 potentially a chronic study, the number of animals that have gone on  
4 study. There would have been serial sacrifices during that. We're  
5 talking about brain cholinesterase here so the same animal can't be  
6 observed twice.

7 DR. HEERINGA: Thank you very much.

8 DR. KENDALL: Thank you. Dr. McConnell.

9 DR. MCCONNELL: As I understand this, you have multiple  
10 data sets and you combine them in your analysis. Do you have  
11 minimum criteria, or do you have criteria to say this data set is good  
12 enough to use? Or do you take any data set and, because there are  
13 some numbers there, you use it?

14 Where I'm heading is that in any area of science we all know  
15 that some data sets are better than other data sets. In fact you might,  
16 in looking at five or six data sets, find one of them to be particularly  
17 outstanding, one of them to be minimally acceptable. Are all five of  
18 those from the minimally acceptable to the outstanding one given equal  
19 weight in your analysis?

20 DR. SETZER: I suppose that's a difficult question to answer  
21 completely. Probably the answer is no for two different reasons.

118

1 There was some filtering that went on before we even looked at the  
2 data. And we talked about this for the September meeting, that there  
3 were minimal standards in terms of adherence to the standards in terms  
4 of performance of study and being able to extract the relevant  
5 information from the study in a believable way. There were minimal  
6 criteria that the study had to ask before I even saw the data.

7 Secondly, in terms of the actual sort of statistics, one criterion  
8 for quality of a study is sort of how tight the data would be for a given  
9 dose level. And in general, a study where the data are quite variable  
10 will be weighted in a not a very obvious way but be weighted less in  
11 the final estimate of the mean than would be a study that had a much  
12 tighter estimate of the same value.

13 So there is some weighting going on based on data quality to the  
14 extent it's expressed in terms of a sort of variance and things like that.

15 DR. MCCONNELL: I think I understand.

16 DR. KENDALL: Any further points of clarification that really  
17 can be held to the completion of the presentation, you think we need  
18 to do it now. I mean, Dr. Portier made a good point. If there is a  
19 clear need to get definition as to the methodology versus the process,  
20 you know, let's do it. If not, let's proceed.

21 Okay. Dr. Portier.

1 DR. PORTIER: I promise. I only have two questions. The first  
2 one has to deal with the simple model versus the broader model.  
3 Obviously, the broader model, if I'm looking at my notes, goes to  
4 infinity effectively converges to the simpler model. Is that --

5 DR. SETZER: That's the model we've called the expanded  
6 model. That's right.

7 DR. PORTER: Well, as S goes to infinity or as D goes to zero.  
8 That's where they become collinear is either at infinity or zero.  
9 There's no way to tell the difference.

10 DR. SETZER: Yes. Well, yeah, effectively, it's the same  
11 model. Yeah.

12 DR. PORTIER: And you've done a number of conversions from  
13 arithmetic numbers to log transform parameter estimates, those of  
14 which will affect, obviously, not only the parameter estimates  
15 themselves but any variance estimates that you put on those  
16 parameters. Specifically I'm interested in the parameter PB and its  
17 inability to assume a value of zero when you do a log transform to  
18 estimate PB. When you go into the estimation phase, we you tell us  
19 how you dealt with that issue specifically? It pertains to the other  
20 parameters as well, but PB bothers me a bit.

21 DR. SETZER: Yeah, it's true. We can't get zero, but we can



120

1 we can get something very small. And, in fact, I haven't done anything  
2 special with that to deal with the possibility that P sub B can get very  
3 small.

4 DR. PORTIER: In your convergence criteria, then you have a  
5 minimal value in essence of PB that convergence is going to stop on,  
6 or log PB, negative value in log PB, upon which it will stop then  
7 because obviously negative infinity is something the computer can  
8 handle easily.

9 DR. SETZER: That's right. It sort of runs out at 10 to the  
10 minus something, 320th or something like that.

11 DR. PORTIER: Thanks.

12 DR. KENDALL: Dr. McDonald, could it hold to later? Dr.  
13 Harry, is it necessary to proceed now with your question? Dr.  
14 Rhomberg.

15 DR. HARRY: No, it can wait.

16 DR. KENDALL: Excellent. Proceed, Dr. Setzer.

17 DR. SETZER: When we couldn't get estimates for all the  
18 parameters, we proceeded in this order. Of course, first, we fit the  
19 full model using sex-specific values for B and random effects for B.  
20 Next step, since we'd already observed that it was pretty common for  
21 B to be similar between the sexes, to try single value with B with

121

1 random use with B.

2       The next step would have if that, again, didn't converge, try  
3 sex-specific values for B but no random effects. And, finally, to try to  
4 estimate a single value with B with no random effects. And then if all  
5 those failed, we'd use an approach I'm going to describe in a minute to  
6 identify sex-specific value of B that were consistent with the data and  
7 then estimate the other parameters given those sex-specific values.

8       I'll say that, in this estimation process, we only basically only  
9 approaches one, two -- they're not numbered here. But the first one,  
10 the second one, and the last one actually ended up being used. If we  
11 couldn't get estimates even for a single value of B with random effects,  
12 none of the other approaches worked either.

13       So in those cases where we can't estimate a value of P sub B,  
14 and it's just under half of the chemicals where this happens, how do we  
15 do it? The basic approach is to use the fact that we can calculate a  
16 likelihood for the model on the given data and identify values of P sub  
17 B that are consistent with the data by trying different values of P sub  
18 B, estimating the other parameters, and calculating the likelihood of  
19 the result, and then finding that value of P that is on the maximum of  
20 that surface or at least is consistent with the maximum of the surface  
21 in cases where the surface is very flat.

122

1           Just in review, the log likelihood is a measure of the degree to  
2           which the data supported a particular parameter. This approach I'm  
3           describing is generally called is "Profile Likelihood." It's most  
4           commonly for calculating confidence levels for a parameter. And the  
5           rest of that slide just goes what I already said.

6           In detail, we set this parameter  $P_{sub B}$ . Remember, there is a  
7           separate value for males and females. This is really two parameters.  
8           We're fixed in turn to each point on an 11 by 11 grid ranging from .001  
9           to .999.

10           At each point for each of those values of  $P_{sub B}$  for males and  
11           females, we fixed the value to an point and then estimate the rest of  
12           the remaining parameters, calculate the log likelihood, and plot it on a  
13           grid. And to aid visualization, values were linearly interpolated  
14           between grid points. We selected the grid point with the largest log  
15           likelihood as the value of  $P_{sub B}$  that we used for the estimate of the  
16           other parameters.

17           Here's a graph of one of those plots. In this particular case, the  
18           highest value is down here in the lower corner. We can see that as we  
19           progress from bright yellow to -- yellow, my wife corrects me -- as we  
20           go from the bright yellowed to red, the surface is dropping off. I'm  
21           using the intervals here are based on minus 2 times the difference of

123

1 log likelihood between the maximum value and the interpolated points  
2 here. So these sort of roughly corresponds to confidence intervals,  
3 confidence contours for the parameter if we were estimating it. Red  
4 would then correspond to values that are significant at .95, or .05,  
5 whichever way you count it.

6 Furthermore, we identified grid points that aren't -- not only  
7 aren't significantly different from the maximum value by open circles,  
8 the remainders are pluses indicate that they are significant.

9 Missing points indicate models that for some reason didn't  
10 converge. So there are a few up in there here, and there are a bunch  
11 out here.

12 One thing we can get at is to what extent -- what is important to  
13 know is since we're not jointly estimating our parameters with the  
14 horizontal asymptote parameters with the other parameters, it's  
15 important to know something about the sensitivity. How much would  
16 our estimate of benchmark dose change if we picked a different value.

17 So basically on the same kind of grid we plotted the profile  
18 likelihood plot, plot benchmark dose then this is fraction of the value  
19 at the selected point. And this is something that is not in the  
20 December draft, but was something I've done since December.

21 So we plot contours, again, plot contours like we did the

124

1 likelihood plots. And in the figure I'm about to show you, the  
2 smallest, contour that's closest to the estimate, corresponds to a plus  
3 or minus 25 percent in change in benchmark dose.

4 Okay. And again this is the same chemical we saw before or we  
5 saw the profile likelihood before, and we can see that, basically, we --  
6 well, within 25 percent, the same estimate of benchmark dose  
7 regardless of what value we chose. For the male benchmark dose, over  
8 a wide range of estimates of B, we don't get a change.

9 I want to move on to the expanded model that Dr. Portier was  
10 talking about. Some of the data sets looked like there was a low dose  
11 shoulder. And the approach we used in the July draft, there  
12 generally weren't enough doses to actually examine that. One of the  
13 advantages of aggregating the data sets is that it allows us to build a  
14 somewhat more complicated model to look at that.

15 One of the explanations for this low-dose shoulder is existence  
16 of saturable metabolic clearance of the parent compound. The  
17 approach I used here is a little bit different from sort of a standard  
18 statistical approach, was to build a submodel which was inspired by  
19 this mechanism -- and I want to emphasize the word inspired -- to the  
20 basic model which would create that low-dose shoulder. And the point  
21 part was to keep the model simple. We don't have the data to

125

1 parameterize a real biologically based dose response model for these  
2 chemicals. Nevertheless, we have some information to allow us to use  
3 some biological ideas to develop a model shape.

4 The approach is to build a very simple PBPK model. This is  
5 useful conceptually, not for the parameterization but to get a sense of  
6 the shape of the submodel we're going to use. This model has two  
7 compartments, a liver and everything else. We're sending oral dosing.  
8 One hundred percent of the oral dose goes into the portal circulations  
9 so it goes into the liver for metabolism.

10 And then there are venous and arterial circulation. And we only  
11 considered saturable metabolic clearance of the parent compound and  
12 first order of renal clearance.

13 It turns out when you write down the differential equations for  
14 this model, they are simple enough you can solve explicitly for steady  
15 state.

16 Now, you can write down now then, if you assume dose is the  
17 administered dose rate, and you describe the concentration in the rest  
18 of the body part of that compartment of that model as  $I$  dose or  
19 internal dose, this is the steady state. It's in constant dosing. And you  
20 get these two parameters,  $S$  and  $D$ , which are these functions of the  
21 pharmacokinetic parameters.

1           In principle, if we had the information and particularly what we  
2 don't have are metabolic information -- sorry -- metabolism  
3 information and measure of renal clearance, we could have used the  
4 biological parameters to parameterize this.

5           Instead we treat this model as an empirical model and estimate S  
6 and D as empirical parameters just like the others. So the way we use  
7 this model then is to compose the two models. We have the internal  
8 dose model, which describes internal dose in terms as a function of  
9 administered dose. And then we use the basic model, the exponential  
10 model we've been talking about, that describes the relationship  
11 between internal dose and the response, the cholinesterase activity.

12           To show you what this looks like.  
13 The dotted lines show the shape of this internal dose model for  
14 different values of S and for one value of D. The names come if D  
15 from displacement because it acts like a displacement of this internal  
16 dose model. S describes the shape. Where S is very small you get a  
17 very dog-legged like shape, as S gets larger you get a more smooth  
18 shape.

19           All of these curves will eventually converge to a shape that's  
20 parallel to the internal dose equals external dose line. One thing that  
21 S does is control the rate of convergence of that actual model to that

127

1 parallel line.

2       If you combine this model with the basic model, you get dose  
3 response shapes that look like this. And this what we actually see  
4 when you compare the data. Again, when  $S$  is very small, you get an  
5 almost threshold-like model, although this isn't really a threshold. It's  
6 a smooth curve.

7       As  $S$  gets larger, you have a more smoother curve. And finally,  
8 as  $S$  gets relatively large -- as we talked about before, as  $S$  gets large  
9 without bound, you can converge to the basic model.

10       That's also true as  $D$  gets small, as you can see, if you slide this  
11 dotted line back towards the origin you get closer -- as  $D$  is zero you,  
12 in fact, do get the basic model. The rate of convergence depends on  
13 the actual value of  $S$  that's happening.

14       It's currently difficult to estimate parameters in this model using  
15 the NLME function. And I don't understand why and that's something  
16 working on right now. But we can still estimate values of  $S$  and  $D$   
17 fairly well by, again, using the reasonable profile likelihood approach  
18 that we described for  $P$  sub  $B$ .

19       The main consequence of doing things this way is that it makes  
20 it somewhat more difficult to get reliable estimates of the confidence  
21 levels for the benchmark dose since they don't take into account



128

1 estimating S and D in the process. And that's why I want to keep  
2 working on doing it the other way.

3 I have to fess up here. Due to some programming errors in the  
4 analysis before the December draft, we were only able to calculate the  
5 profile likelihood plot for a small number of chemicals; and those were  
6 wrong. And the main consequence of the programming errors was --  
7 actually, the subsequent analysis where we actually then estimate D,  
8 for example, for the chemicals, those, I believe, were correct. So the  
9 main consequence of this was to limit the number of chemicals which  
10 benchmark doses could be calculated using the expand model.

11 Right now we've got profile likelihood for all 29 chemicals.  
12 And 17 of those 29 chemicals, the fit for the expanded model is  
13 significantly improved over the basic model. And there's a list of the  
14 chemicals for which that's true.

15 Here's an example profile likelihood estimate surface for S and  
16 D for bensulide. The scale is the same. So these are probability steps  
17 going down. The actual scales for S and D depend on the dose scale  
18 used. So if the largest dose in the study is very small, these will range  
19 over a small range; if these are very large, these will range over a  
20 much larger range.

21 And some dose response plots. I'm afraid these don't show up

129

1 very well I have to learn to use wider lines when I make these graphs.  
2 These were a little bit busy and hard to see. So let's go through some  
3 of them.

4 The individual points are individual dose means from individual  
5 data sets. So this is essentially every individual dose mean in all the  
6 data sets plotted versus dose. For the same in this case in bensulide,  
7 there was only one set of units used so all the data are on one graph.

8 The solid lines are the dose responses that correspond to the  
9 population mean parameters values for all the parameters. The colors  
10 indicate values for males and for females; blue indicates male; red  
11 indicates females. The dotted lines indicate mean values for each  
12 individual study.

13 So in this case, it looks like there were two studies, to two  
14 separate studies, for bensulide with somewhat different background  
15 levels so you get these separated values.

16 And then what you don't see on this graph is with each  
17 individual study may have multiple data sets. You can sort of see the  
18 values, the doses sort of piling up here at discrete dose levels. And  
19 when we look at residuals in a minute, you'll see that.

20 This is the basic model. You can see that it's an exponential  
21 model. It just drops. For the expanded model, you see the shoulder

130

1 that, adding the expanded model, the internal dose model adds to the  
2 dose response curve.

3 Residual plots on the left side are the residuals are the basic  
4 model; on the right side are residuals from the expanded model. What  
5 you see here is an excess of positive residuals down around 10  
6 percent. What we're plotting here is the residuals from the model fit.  
7 That is the difference between the actual observed mean and the  
8 model-predicted mean for each individual data set -- this is the  
9 particular mean for each individual data set -- scaled by its predicted  
10 standard error, plotted against the fraction of inhibition predicted by  
11 the model.

12 We see that in the basic model at around 10 percent, which is  
13 where we want to put our benchmark dose, we are overestimating the  
14 degree of inhibition. And that's consistent with the graphs I showed  
15 you before with the shoulder.

16 If we move to the model with low dose curvature with the  
17 expanded model, we see that the residuals are more uniformly or more  
18 evenly scattered around this horizontal line that indicates zero. So  
19 basically what that means is we're over-predicting about as often as  
20 we're under-predicting, which is sort of when we're looking.

21 This is just a slide of the table of the chemicals and the relative

131

1 potency factors, I think, from the December draft of the document and  
2 points of departure.

3 Summary. In summary, we attempted to address SAP  
4 recommendations; and we believe we've improved benchmark-dose  
5 calculations. We've used profile likelihood to estimate the horizontal  
6 asymptotes results which gives us a value that's consistent with the  
7 data. That's superior to sort of assuming that it's zero.

8 By switching to benchmark dose, our measure of -- is our basis  
9 for calculating relative potency. We have a measure that's less  
10 sensitive to our estimated horizontal asymptote than was the slope  
11 factor that we were using before. And by changing the weight  
12 function, we've improved somewhat the quality of the estimate we've  
13 done.

14 We've reparameterized the basic model to improve the stability  
15 of the estimator. This allows -- essentially what that means is we have  
16 convergence more often and it's easier to get convergence when you  
17 do get it.

18 By estimating parameters for combined data sets, we can  
19 introduce a slightly more complicated model that describes the low-  
20 dose shoulder of the dose response. And that, in fact, does give us --  
21 it improves the fit to the data and improves the benchmark dose

132

1 estimate at least for quite a number of chemicals.

2 And that so should be all. Yes, that's it.

3 DR. KENDALL: Thank you very much for that informative  
4 presentation. Any quick questions from the Panel? Otherwise -- okay.  
5 Dr. Durkin.

6 DR. DURKIN: Woody, very, very quick. I'm a little dense.

7 DR. SETZER: No, you're not.

8 DR. DURKIN: You did, prior to combining studies, everything  
9 was scaled -- correct? -- scaled for the differences in the background  
10 risk response rates among the studies the way you reparameterized it.

11 DR. SETZER: Well, actually, yes or no. The parameterization  
12 of the model sort of does that by estimating -- we have not explicitly  
13 scaled it in the sense of going through and dividing by the background  
14 for that particular data set.

15 What we do have is a multiplier for the model for each data set  
16 that sort of takes that into account. That's what the random of both  
17 the --

18 DR. DURKIN: That's one of the random --

19 DR. SETZER: Well, there's actually a fixed effect because we -  
20 - there's a fixed effect that affects sex and units, and there's a random  
21 effect term that affects data sets and studies; yeah.

1 DR. DURKIN: That explains that figure for me. Thanks.

2 DR. SETZER: Thanks.

3 DR. KENDALL: Dr. Roberts.

4 DR. ROBERTS: Just a quick question. Are you proposing to  
5 go forward with the benchmark doses based on the expanded model for  
6 all of the chemicals or for some of them with the expanded model and  
7 some of them with the basic model?

8 DR. SETZER: Certainly -- this is probably a policy decision  
9 that I'm not really supposed to make. But I would think that,  
10 certainly, in those cases where the expanded model describes the data  
11 substantially better than did the basic model, that's where the  
12 benchmark dose would come from.

13 The question -- the only issues would be in situations where  
14 there's not really a lot of evidence to support the expanded mode over  
15 the basic model for a given data set.

16 DR. ROBERTS: Is there any down side from a technical  
17 standpoint just using the expanded model for the complete data set for  
18 all of the OPs?

19 DR. SETZER: I'm trying to balance, sort of model uncertainty  
20 parameters versus uncertainty in parameter estimation in this. But,  
21 basically, what happens is as you estimate more parameters in a model

134

1 like this, you sort of increase the confidence intervals on the  
2 parameters you've estimated. You generally want to use simpler  
3 models if you can.

4 On the other hand, you can introduce some bias by not having  
5 the right model. And so that's the tradeoff you're trying to balance.

6 DR. KENDALL: For the Panel, I have four or five people that  
7 want to ask questions. If I do so, it will take another half an hour. I  
8 would propose, if there's something quick that Dr. Setzer needs to  
9 address, let's do that versus let's get into the depth of discussion that I  
10 think you really want to engage when we have a chance to reconvene.

11 So if there is any clarification -- Dr. Rhomberg, your hand was  
12 up. Is there a clarification from you needed?

13 DR. RHOMBERG: it's a clarification.

14 DR. KENDALL: Then let's do that.

15 DR. RHOMBERG: I'll state it. And if we want to defer it, I  
16 will defer the answer.

17 DR. KENDALL: Okay. Go ahead.

18 DR. RHOMBERG: What my question is about S and D in the  
19 expanded model. In the December document, not only did you have to  
20 use profile likelihood a lot of the time, but you even had difficulty  
21 with that in that you had a hard time in finding a spot on the surface

135

1 where there was really clear differentiation. And I think you solved  
2 that by setting S very small and then estimating D.

3 You didn't mention that problem now. Has that gone away with  
4 the fixes you made to the calculations?

5 DR. SETZER: I wish. No, but what I'm trying to do now is  
6 trying to understand better why I'm having that trouble. What I would  
7 do, if we can't get -- I mean, it has not gone away. That's probably the  
8 easiest way to answer that right now. And if you want more, we can  
9 talk more about it.

10 DR. KENDALL: Dr. Conolly.

11 DR. CONOLLY: I'll keep it brief, also.

12 DR. KENDALL: Please.

13 DR. CONOLLY: Woody, the expanded model, you said, I think,  
14 was motivated by knowledge that OP clearances are determined by  
15 saturable processes, carboxyesterases (ph), and things like that. And  
16 the question I've got for you -- and we can go into that in more depth  
17 later if it's a long answer -- is just whether you feel the validity or  
18 enthusiasm of using the expanded model in the assessment is really  
19 dependant on that interpretation because I might challenge you that  
20 biological interpretation of the model, not necessarily on the  
21 application of the model.



136

1 DR. SETZER: That's fine. And I would -- no, it's not  
2 dependant on that. In fact, I tried to emphasize that. But that's for  
3 asking because I get to say it again.

4 No, that's why I used the word "inspired" by that idea.  
5 Basically, what we're looking for, what seemed seems to characterize  
6 the belief or the information that we have is that we expect there to be  
7 some sort -- it's -- I'm going to get myself into a more complicated  
8 explanation than I want.

9 No. It's essentially an empirical model that allows us to modify  
10 the dose response shape in a way that focuses on the low-dose end as  
11 opposed, for example, adding polynomials or putting a power on dose  
12 which would effect the entire dose range.

13 DR. MCCONNELL: I think calling it an empirical modeling is  
14 probably the safest way to go forward.

15 DR. SETZER: That's right.

16 DR. KENDALL: Dr. Harry.

17 DR. HARRY: I'll wait.

18 DR. KENDALL: Dr. MacDonald.

19 DR. MACDONALD: Yeah, I've got two questions and I think  
20 they have short answers.

21 One is do you think what you had problems getting the fit to

137

1 work that could be the result of combining contradictory data sets?

2 DR. SETZER: For one chemical, that might be the case. But,  
3 generally, no, I don't think so.

4 DR. MACDONALD: And, also, you raised a very good issue  
5 about the confidence intervals getting wider when you start fitting  
6 more things. I'm not sure everyone appreciates the importance of that.  
7 But it really makes me worry about anything that's going to be based  
8 on confidence intervals like BMDL because you can always just make  
9 more assumptions your confidence intervals get tighter and everybody  
10 feels better.

11 DR. SETZER: Yeah, that's actually one of the problems; isn't  
12 it. Yeah.

13 DR. KENDALL: Dr. Portier.

14 DR. PORTIER: I'm not going to let you rush me, Mr. Chairman.  
15 I have a lot of questions concerning things that are not in the write-up  
16 about the procedures that I want to be sure I understand rather than  
17 assuming I understand what they are. Hopefully, it won't take more  
18 than about 10 minutes; but I have a number of questions that I have to  
19 ask.

20 DR. KENDALL: Sounds to me that that might be best engaged  
21 when we reconvene, Chris.

1 DR. PORTIER: It's up to you. These are all clarification  
2 issues. They are not any further discussion of the other issues. This is  
3 one of my concerns was that we would rush through this very  
4 important aspect of this presentation. A lot of what's done is going to  
5 be dependent on this. So if we're going rush to get my comments in  
6 now, yes, I would prefer to put them off. But if not, I would prefer to  
7 do them now since the topic is fresh in everyone's mind. I will let you  
8 decide that.

9 DR. RHOMBERG: Comments?

10 DR. KENDALL: Dr. Rhomberg.

11 DR. RHOMBERG: Once we get to that point, I'm sure I will  
12 have a lot of comments and questions as well that are also, at least on  
13 the cusp between things that are clarifications and that are  
14 discussions. If you start asking yours, I'm probably going to feel like  
15 asking mine.

16 DR. KENDALL: See, that's what I'm worried about. My  
17 druthers were to cut it off completely after the presentation by Woody,  
18 but I didn't. I think we did a good job to trying to get a few of these  
19 issues on the table. But I appreciate your honesty. If you've got  
20 multiple ones, what I'm afraid is you will get into it, others are going  
21 to get into it. We're substantially ahead of schedule now.

1 DR. RHOMBERG: I don't just want to agree that I don't want  
2 to rush the issue, and I think that saying we don't want to rush the  
3 issue is a very important point that Chris made.

4 DR. KENDALL: And because of that, I'd say it's very difficult -  
5 - and one of the things I've found it is very difficult for people to get  
6 out and get back in an hour. If I had a few more minutes -- I'm going  
7 to reconvene precisely at 1:30. Okay. In that way, you got a few  
8 more minutes than an hour to get back here because I'm going to start  
9 at 1:30. Dr. Portier, will you bear with me?

10 DR. PORTIER: I'd be happy to. That's great.

11 DR. KENDALL: We will close this morning session and  
12 reconvene at 1:30 p.m. Thank you.

13 [Lunch recess was taken.]

14 DR. KENDALL: This will reconvene the FIFRA Advisory  
15 Panel. We concluded with an very excellent presentation by EPA in  
16 our morning session. And now we have the questions for the SAP on  
17 Hazards and Relative Potency Factors. I would like to have those  
18 questions posed.

19 I know there are some clarifications, additional discussion,  
20 related to your presentation. Let's go ahead and present the questions,  
21 and then we'll proceed forward with the clarification, then move into

140

1 the questions. Thank you.

2 Go ahead. And let's present the first question. Just the first  
3 one only.

4 DR. LOWIT: In September 2001, the FIFRA SAP made some  
5 specific recommendations to the Agency concerning refinements of its  
6 dose response analysis of cholinesterase on organophosphates. Some  
7 of these include the deviation of the adjustment factors "B" and the  
8 modification for use of "B"; a formal analysis of the residues; minor  
9 revision to the Agency OP-CRA Assessment Program including the  
10 revision of calculations of the goodness of fit statistic and deletion on  
11 the p- and t-values PND; consideration of the appropriate measures of  
12 relative potency; expression of the inhalation exposure in the same  
13 units as the oral doses and adjustment for actual treatment durations;  
14 consideration of the impact of individual animal data instead of  
15 summary information; and derivation of oral doses from the all dietary  
16 intake rates.

17 Part B of the same question. You are asked to comment on how  
18 to address the recommendations.

19 DR. KENDALL: Okay.

20 DR. LOWIT: Part B of the same question. Several of these  
21 issues were addressed by the application of the nonlinear mixed effect

141

1 for combining cholinesterase data. In addition, EPA utilized the  
2 profile likelihood method for estimating horizontal asymptotes when  
3 they could not be estimated jointly with the other parameters. And  
4 we're, also, asking you to comment on the use of these statistical  
5 procedures and the dose responses.

6 DR. KENDALL: Okay. Thank you very much. As we  
7 concluded the morning session, Dr. Portier, you had two questions  
8 that you wanted some time to address. Dr. Rhomberg, also, has some  
9 points. Why don't you proceed.

10 DR. PORTIER: I didn't say two. I said 10 minutes of  
11 questions.

12 DR. KENDALL: Two questions for 10 minutes or 10 minutes  
13 for two questions?

14 DR. PORTIER: I think it's 10 questions for one minute each.  
15 Just, again, these are all clarifications questions, I hope.

16 In page five of the write up, you basically describe what you did  
17 last time. And in page 9, you describe what you're doing now in terms  
18 of full data sets versus data sets. You didn't come in on the dilution of  
19 doses issue. Is there no dilution of dose groups in the current  
20 analysis?

21 DR. SETZER: That's correct.

1 DR. PORTIER: In the previous version you had average  
2 parameter values for each study and then average values for each OP  
3 across studies and each time across studies and everything like that. I  
4 just want to be clear that I'm understanding what you're doing now.  
5 When you analyzed all the data, it is literally for an OP all the data  
6 sets; is that correct?

7 DR. SETZER: That's correct.

8 DR. PORTIER: And then there's one potency factor that comes  
9 off for an OP from that calculation;

10 DR. SETZER: That's correct.

11 DR. PORTIER: Which is the grand mean in some sense.

12 DR. SETZER: In some sense, yes.

13 DR. PORTIER: You discussed steady state in loss terms in this  
14 overall analysis. And I didn't see anything in here -- and correct me if  
15 it is in here -- describing half-lives in male rats, female rats.

16 DR. SETZER: No.

17 DR. PORTIER: Or humans. Half lives of the OPs in the animals  
18 or in the humans to discuss, to verify, your assumption about a 21-day  
19 steady state value.

20 DR. SETZER: The steady state value we're talking about  
21 doesn't refer -- it refers to cholinesterase activity not to whatever

143

1 tissue-specific levels of the OP. So it's an empirically determined  
2 value. Actually, it's the same thing we talked about in the September  
3 meeting, the cholinesterase, the inhibition stabilize around 21 days.

4 DR. PORTIER: But there's no formal analysis anywhere in here  
5 that verifies that statement about the steady state nature of  
6 cholinesterase inhibition under constant exposure for 21 days.

7 DR. SETZER: Other than the analysis we presented in  
8 September, there's no new analysis.

9 DR. PORTIER: On page 7, let me go back to my notes here.  
10 Oh, yes. On page 7, the top of the page in terms of points of  
11 departure, you say the BMD10 was selected at the effect level for  
12 point of departure because this level is generally at or near the limit of  
13 sensitivity for discerning the statistically significant decrease in  
14 cholinesterase activity across the blood and brain compartments and is  
15 a response level close to the background cholinesterase.

16 My question was: Were there any evaluations done of other  
17 BMDs instead of 10 percent, 5 percent, 1 percent to compare against  
18 this?

19 DR. SETZER: No.

20 DR. PORTIER: And you discuss about them being close to the  
21 limit of statistical significance. Did you take any view of looking at



144

1 the co-efficient of variations in the sense of the BMD10 in variances  
2 and looking at what that looks like.

3 DR. SETZER: You mean looking at how the confidence level  
4 would change as we change the response level? No, we haven't.

5 DR. PORTIER: Okay. I assume, and maybe I'm assuming  
6 wrong, that all of the error distributions in the model are normal.

7 DR. SETZER: They're assumed to be normal; that's correct.

8 DR. PORTIER: Was any sensitivity analysis done for this?

9 DR. SETZER: No.

10 DR. PORTIER: I'm assuming no log transformation was made  
11 on the Y-response variable to see the cholinesterase levels?

12 DR. SETZER: That's correct. The data we modeled were on  
13 the original scale.

14 DR. PORTIER: I'll make a comment. This is sort of an  
15 off-the-cuff comment. But if any revised version, I would have  
16 preferred to have seen a standard statistical presentation of the  
17 expected value of Y is or a Y is this plus error structure and then  
18 break out of the error structure for me so I could understand the  
19 nesting of the error structure better. That would have been very  
20 useful.

21 Again, I want to verify that in the likelihood-based procedure

145

1 you are using, you are actually using a normal likelihood and not the  
2 sum of squared errors.

3 DR. SETZER: In the particular procedure we're using, which is  
4 has been called conditional linearized, it's an approximate log  
5 likelihood -- it's an approximation based on some linearizations based  
6 on a normal likelihood, yes. On a normal likelihood approach for all.

7 DR. PORTIER: The error terms. The error terms are proffering  
8 into --

9 DR. SETZER: That's correct.

10 DR. PORTIER: -- into the normal distribution.

11 In looking at your starting points or calculation what you called  
12 the profile likelihood, I assume that for each point in the grid you have  
13 shown me that surface that you were showing me that maximization  
14 was done for all the other parameters under at fixed B value.

15 DR. SETZER: That's correct. With this caveat. Optimization  
16 was done. Remember this is a -- this is not an exact maximum  
17 likelihood procedure because it's based on a linearized likelihood.  
18 But, yes, for all the other parameters were estimated using the  
19 procedure conditional on the value of the fixed value from the P sub B,  
20 or the S and Ds, depending on the ones you're talking about.

21 DR. PORTIER: Okay. In looking at the actual format of the

146

1 model under varying studies, varying sex, varying species, varying  
2 times of observation, I was a little lost as to how these effects were  
3 entered into there. I'll tell what I assume the effects, how they were  
4 entered into there. For each study you had a variable for background  
5 adjustment to the grand mean of background and each of those  
6 variables had their own distribution from which they were derived.  
7 Was it a mean structure cross? What exactly are you doing with that  
8 one.

9 DR. SETZER: Okay. You have the basic model in terms -- if  
10 you're talking about the basic model. We have a parameter that  
11 indicates that qualifies the background. We had horizontal asymptotes  
12 in the benchmark dose. We fit a model in which there's a separate  
13 grand mean, fixed grand mean, for the background values for the cross  
14 of sex and unit in which cholinesterase is measure because we studies  
15 where different units were used. And the units indicate different  
16 methodologies. It's just sort of rescaleing the units to the right  
17 answer in dealing with the different units.

18 And then a separate grand mean for sexes for the horizontal  
19 asymptotes and benchmark dose. Then the variances model, for each  
20 of those three parameters, is a considered the sum of that main effect.  
21 And two random variables each with mean zero and their own

147

1 variances. One random effect for among study availability and one  
2 among data sets that study variability. That's if we're counting, that  
3 means six random parameters and six variances to be estimated. We  
4 assume to be independent, but there just aren't enough data to estimate  
5 covariances among those variables. So it's essentially the co-variances  
6 measures is diagonal.

7 DR. PORTIER: That confused me, then, about one of your  
8 plots. I thought I had understood that is what you had done. Then I  
9 don't understand how you got the plot -- let's see if I can find the one  
10 I'm talking about -- dose response shape at low doses.

11 DR. SETZER: Yeah.

12 DR. PORTIER: It was the one that had three plots on it with  
13 the grand mean plot. This is from his presentation. A grand mean plot  
14 and a plot above and a plot below.

15 DR. SETZER: It might be 42.

16 DR. SETZER: It says --

17 DR. PORTIER: My slides are not in color, so I'm -- it was  
18 definitely toward the end. Three or four or five slides back from the  
19 summary.

20 DR. SETZER: There.

21 DR. PORTIER: This is the one. I'm a little bit confused about

148

1 how you get the different backgrounds for the different models if it's a  
2 random effect to tie it down for a particular study.

3 DR. SETZER: For each study and, indeed, for each data set  
4 within study, you get kind of a -- well, you can get a maximum model  
5 estimate for the mean value for the parameter for that study or data  
6 set. What I've shown on these plots, the solid curves correspond to  
7 the grand means to the dose response curves corresponds to the grand  
8 means. The dashed curves correspond to those response curves for the  
9 study specific value which would be the grand mean plus the estimate  
10 of the particular random effect for that study and so forth.

11 And I only did it one level of nesting down because it would get  
12 too confusing to do it...

13 DR. PORTIER: Okay. That's good. I understand that now.  
14 Again, I didn't get into the code of R and sit down and look at it, I  
15 just wanted make sure that the NMLE methodology cannot do  
16 constrained parameter estimation.

17 DR. SETZER: That's correct.

18 DR. PORTIER: Which is why you did all the log transforms.

19 DR. SETZER: That's correct.

20 DR. PORTIER: Page 19, you describe the likelihood ration  
21 test. It's at the bottom of the page, significance of the fit based upon

149

1 the additional bigger model. Do you see where I am?

2 DR. SETZER: Yeah. I see where you are.

3 DR. PORTIER: I'm curious about the degrees of freedom for  
4 this likelihood ratio test. What were you using?

5 DR. SETZER: Two.

6 DR. PORTIER: Two. Even so though the model sort of  
7 collapses, when you send either one of the parameters to its boundary  
8 value, it brings you back to the basic model.

9 DR. SETZER: That's a good point.

10 DR. PORTIER: You're still using two.

11 DR. SETZER: I'm still using two.

12 DR. PORTIER: That's probably not bad or good. I'm trying to  
13 make sure I clearly understand everything.

14 Finally, the steady state solution off of the PBPK model that  
15 you're using. I didn't sit down and do it myself, but I need some  
16 degree of assurance in looking at this. It didn't appear to me that that  
17 type of PPBK model should have had a point of discontinuity in it  
18 which, obviously, the steady state solution does have a point of  
19 discontinuity in it.

20 I wanted to make sure there weren't assumptions that I didn't  
21 see that went into that model in terms of how it would react or how it

150

1 would work. Continuous, the PBPK model itself is a continuous time,  
2 continuous dose system of augmenting differential equations. Even in  
3 steady state, I don't see how that would lead to a nonsmooth response.  
4 And yet you got this minus S which is clearly a point of discontinuity.

5 DR. SETZER: Can we go to the next slide?

6 DR. PORTIER: There your solution for I dose introduces a  
7 break point in the dose response curve which I didn't see in the system  
8 itself. And since I didn't have the LDs in front of me, I couldn't.

9 Are you absolutely certain of what you did.

10 DR. SETZER: Yeah. Never ask me if I'm absolutely certain of  
11 everything, Chris. However, I'm reasonably certain that this solves  
12 that system. That's the result of actually -- I mean I cheated. I used  
13 MAPLE which is no guarantee that it's right. Although given -- nor  
14 done by hand.

15 Actually, in a sense, that may not be that important. We're not  
16 trying to claim that this is. In fact that's the wrong pharmacokinetic  
17 model in some sense anyway. The point is to use a sort of simple  
18 conceptual model to help you derive a mathematical expression to use  
19 in a dose response model that would have the characteristics that I  
20 wanted which would cause that shoulder at low doses and have that  
21 sort of localized at the low rate it occurred; and localize that to a

151

1 greater or lesser degree, which I contend this curve does; although,  
2 computationally, it may be a bit of a problem.

3 Singularly, I'm talking about S and D, if you're going to drop at  
4 all, they have to be positive in that model.

5 DR. PORTIER: I agree.

6 DR. SETZER: So where's the point of discontinuity that you're  
7 talking about?

8 DR. PORTIER: My concern is for dose less than

9 DR. SETZER: I see what you mean. But there's a trick there in  
10 that positive square root. It turns out that when dose is less than S,  
11 the thing under the positive square root of that under that radical  
12 cancels it out. So if dose less than S or less than D essentially, you  
13 get a flat line, horizontal line.

14 DR. PORTIER: That's my point. That implies that for dose less  
15 than S --

16 DR. SETZER: You can get perfect metabolism much in the first  
17 pass.

18 DR. PORTIER: Exactly, that the steady state solution is a zero  
19 steady state.

20 DR. SETZER: That's a good point.

21 DR. PORTIER: Which in the early DEEMS I find difficult to



152

1 understand. That's all. I hope it wasn't more than 10 minutes.

2 DR. KENDALL: Very well. Aren't you glad we waited, Dr.  
3 Portier?

4 DR. PORTIER: I'm glad. Dr. Rhomberg.

5 DR. RHOMBERG: The reason I was raising my hand earlier  
6 was I was wondering if this last question didn't actually have  
7 something to do with the question I asked just before the break about  
8 the actual estimation of values for S and D. If you choose a really  
9 small value for S, I think it is, and then putting it at the edge of your  
10 space of values that you've tried and then optimized D, I think that  
11 makes for a very sharp effect so that the curve are actually curves. I  
12 don't know if Chris was reacting to the equation here for the  
13 singularity or for the actual pictures of the curves.

14 For my part, the same question arose, but it was looking at the  
15 curves that actually seem to be dead flat and then there seems to be  
16 actually a little break there and start to go down. I ascribe to the fact  
17 that by making S very small and D very large, you were basically  
18 making some very sharp kind of rectangular -- although it's continuous  
19 on a microscale. It looks like it's a break on the scale that you were  
20 plotting out.

21 Is that a reasonable explanation of that effect?

1 DR. SETZER: The reason and -- in the December draft the  
2 motive for fixing S as a particular value was that when we looked at  
3 the profile likelihood response dose for chemicals we actually got  
4 something out. It actually looked like, for each of those chemicals,  
5 the maximum -- the likelihood was actually for a very, very small value  
6 of S.

7 And so what we were doing was essentially analogous of what  
8 I've done the estimating P sub Bs. If I can't explain the majority, use  
9 the profile likelihood to give me a plausible value for one of the  
10 parameters and estimate the other one.

11 But it's true. That for a very small values of S, I showed that on  
12 the graph, too. I talked about this curve. That as S gets small, the  
13 curve looks more and more like a sort of a classical threshold model.  
14 And to some extent, that was an artifact of some programming error.  
15 Those figures were wrong.

16 DR. RHOMBERG: The figure that you showed this time. And I  
17 should remember which slide number it is now. The one that actually  
18 shows the curves with the shoulder. And in this case, the one you  
19 showed today behaving much rounder and more nicely behaved.

20 DR. SETZER: Yes, sir.

21 DR. RHOMBERG: Is that typical of the way they looked or is

154

1 that atypical?

2 DR. SETZER: It's not atypical. There's a variety of shapes  
3 from barely discerning a shoulder to all the way to something that  
4 looks like the curve we showed before.

5 DR. RHOMBERG: Okay. I guess Dr. Portier asked a lot of the  
6 questions I was going to ask. But there's one part that you started on  
7 and didn't quite finish. And that is I guess the way I would rephrase it  
8 is choice of starting values for some of these cases where you are now  
9 doing profile likelihood.

10 And I'm not sure I quite follow the explanation in the text about  
11 where you got the starting values from for the parameters that you're  
12 now reoptimizing when you're doing S and D. And in particular, what  
13 happens when -- I guess now in this version, you no longer have the  
14 case of setting B to zero, but you do have cases where you had to do  
15 tricks to estimate B even in the basic model. Where does the starting  
16 value for B come from, then, when you're considering the expanded  
17 model.

18 DR. SETZER: Yeah. Well, we start in the expanded model.  
19 We start essentially with the basic model that we ended up with. So  
20 whatever effects we estimated or probably didn't estimate in the basic  
21 model we start there. So if we had to fix B and used profile likelihood

155

1 as the basis model to fix it, the I kept B fixed at that value. If B was  
2 estimated in the previous model, it continues to be estimated and so  
3 forth. If it's estimated that B varies B's allowed to vary. If B was  
4 fixed, at this point there's no effort to sort of reinsert essentially do a  
5 three-dimensional profile likelihood or four-dimensional profile  
6 likelihood to determine the sort of the best combination of S, D, and P  
7 sub B for that.

8 DR. RHOMBERG: I guess you could be forgiven for that. I  
9 guess what I was wondering the degree to which -- these things can  
10 draft such that B that you get. So to the degree that B interacts with S  
11 and D, could it be that when you add S and D into the equation, you no  
12 longer have the problem that B needs to be fixed the way it had to  
13 before with the basic model; or has that situation not arise?

14 DR. SETZER: Basically, that's possible. But I don't think  
15 that's going to happen.

16 DR. RHOMBERG: All right.

17 DR. SETZER: Because of some efforts I made at trying, I  
18 thought of that and tried to sort of refit things but unsuccessfully so  
19 far.

20 DR. RHOMBERG: I have one other clarification question and  
21 that is on the different units. I'll confess to not knowing exactly how

156

1 they assays run. I understand why you did them differently. These are  
2 not things you can convert back and forth because they are actually  
3 different methodologies that might be measuring somewhat difference  
4 things and not just measuring units. That's what you were saying  
5 earlier; right?

6 DR. SETZER: That's correct.

7 DR. RHOMBERG: Can you at least say that these measures  
8 ought to be sort of linearly related to one another? I guess the one I'm  
9 worried about is the Delta pH which since pH is on a log scale, in a  
10 sense implicitly log transforming results for one thing and that's not  
11 being done for some of the other things. Does that cause any issue?

12 DR. SETZER: That's a good point about log Delta's pH. There  
13 are, in fact, some published conversions between pH method for  
14 cholinesterase activity and one of the other methods. They turn out to  
15 be linear transformations nonzero intercept. One of the approaches, I  
16 think for rats, that intercept is quite small. It's not sufficient you have  
17 a linear transformation for that to work. I think it needs to be a pure  
18 scale transformation.

19 The intercept, at least, I think for rats for one of that is the  
20 intercept is quite small relative to the magnitude is the a product of  
21 the slope. It's approximately right.

157

1 DR. RHOMBERG: So it's not a practical issue, you don't think.

2 DR. SETZER: I don't think it's a practical issue.

3 DR. RHOMBERG: Thank you.

4 DR. KENDALL: Thank Dr. Rhomberg. Any others want some  
5 clarification? Dr. Harry.

6 DR. HARRY: Of the last question where you were talking  
7 about the different types. And what I was concerned about, and I saw  
8 how you were handling the different types of assays to bring them back  
9 to some sort of comparison. But while you think about the data, did  
10 you take into consideration why you had a prescreen to say good  
11 studies that you wanted to look at, was there a component point that  
12 took into consideration the differential sensitivity of these different  
13 assays?

14 We have different ways of looking at things. Have different  
15 assays been looked at different to say their levels of sensitivity. I'm  
16 concerned about that -- getting down to the really low dose. In some  
17 of these assays, they may not, in and of themselves, have the  
18 sensitivity to pick up accurately small changes. So you might be  
19 missing something. Was there any way of weighing that or anything?

20 DR. SETZER: I'm wondering if you're not asking the question  
21 that was asked a littler earlier but in a different way.

1           If the differential sensitivity is you expect there to be a bias at  
2           the low-dose end and a mean value to get it out. Or is it that you  
3           expect the variances to grow as you get down to low-dose ends so that  
4           there is just a lot of noise down there and you can't -- if you're doing  
5           calibration for NOAELs or something, you don't have a lot of  
6           sensitivity to see significant differences.

7           If it's the latter situation, I think the weighting we've done sort  
8           of accounts for that. Basically, the data sets were larger variances  
9           will contribute less to the overall mean estimate. If it's the former  
10          where there's a bias, a real bias, it gets more pronounced as inhibition  
11          gets smaller.

12          I certainly haven't adjusted for that mathematically. Whether in  
13          the screening process for studies, that was taken into account. I'd  
14          have to get somebody else to answer.

15          DR. HARRY: This particular question is more on the bias. On  
16          the first component of it on maybe the bias rather than the variability.  
17          Because if you are outside or below the ability of an assay to truly  
18          detect change because of the sensitivity of the assay, and that may also  
19          come into play as you're getting new technology to pick it up. So we  
20          have a lot of data across a large sensitive assay to pick it up. So it's  
21          not so much the variability, but you may see no changes detectable

159

1 coming in you're going to have to deal with that. I was just wondering  
2 if anyone had taken that into consideration.

3 DR. SETZER: I haven't mathematically.

4 DR. BRIMIJOIN: We're talking about the low end of an  
5 inhibition curve not the low end of a activity curve. So where an assay  
6 would be perfectly good in this zone.

7 DR. HARRY: But it may be misleading.

8 DR. BRIMIJOIN: So it really does come back to the question  
9 not of sensitivity of the assay but precision.

10 DR. SETZER: Correct.

11 DR. BRIMIJOIN: It's folded into the measure the variances.

12 DR. SETZER: Thank you.

13 DR. KENDALL: Any further comments to that question or  
14 issue? Dr. Portier.

15 Did you want to say anything Dr. Lowit? Did you want to say  
16 anything to this? Okay. I think we got that at least resolved.

17 Next clarification issue, Dr. Portier.

18 DR. PORTIER: Yeah. I'd forgotten to pick that one from my  
19 list, but I remember what it is. We didn't hear any discussion or  
20 presentation on the CELs for the acute tox studies and the comparison  
21 of them against benchmark doses. And there's some points in there



160

1 about these models couldn't be fit to those data. Will we get a  
2 presentation on that?

3 DR. LOWIT: We're going to hold the discussion on the  
4 different time frames and all the hazard conditions that come along  
5 with that until Friday when we discuss with you the last question  
6 which is scheduled for Friday in terms of the appropriate time frame  
7 for exposure and all the hazard and exposure issues that go into that.

8 DR. PORTIER: But I'm more interested in questions pertaining  
9 to why the dose response analysis was not done with those data is  
10 more my question along these lines. There were some statements made  
11 that you could not fit model to those data. Yet when I look at that  
12 those, data I see five or six dose points. And I'm curious about  
13 whether we will get a presentation on that. Or my comments on this  
14 first question, I think I should comment on that.

15 DR. LOWIT: At present time, the acute data in the table that I  
16 think you're referring to has not been through any dose response  
17 analysis. Those are NOAELs and LOAELs pulled directly out of study  
18 reports of data evaluation records and staff toxicology not from the  
19 actual study reports themselves.

20 DR. KENDALL: Any further points?

21 DR. PERFETTI: Dr. Portier, one thing was referring to

161

1 inhalation and the dermal study, a lot of times you may have had more  
2 than one dose, several doses; but you only have one time point which,  
3 if you model some of those like we did using the original model, you  
4 get very, very wide confidence limits. So I mean you're talking about  
5 BMDs in the same compartment range from .02 to .12. So it's -- I  
6 mean you could do it, but I'm not sure it would be any more accurate  
7 than just the CELs.

8 DR. PORTIER: If I might respond. My comments will reflect  
9 when we go to 1A the fact that those large confidences bounds or my  
10 lack of confidence in a estimate of BMD10 should in fact reflect on  
11 our lack of confidence NOAEL, LOAEL, or any type of dose response  
12 assessment from those type data. And it's an indication of a lack of  
13 consistent information more than it is an indication of the failure on  
14 the regression procedure to give you a descent answer.

15 DR. KENDALL: Dr. Heeringa.

16 DR. HEERINGA: A question, Dr. Seltzer.

17 In your presentation this morning you commented that in several  
18 cases that you felt that you may actually be working with a data series.  
19 I looked at some of the fits within the model. I don't know whether  
20 that's changed in a result of your reanalysis. But it really looked to  
21 like you had two different profiles going. It's not just so much a

162

1 random effect.

2 Did you look at this? Did you ever exclude any data series as  
3 potentially outliers or poor calibrated or to accept all this, incorporate  
4 all the available studies, and treat differences as just the time and  
5 effects of population average value?

6 DR. SETZER: Yes, that's what did. I haven't tried excluding.

7 DR. HEERINGA: No revisiting. I just looked at the Pomnet  
8 (ph) and really in my mind draws two different curves not a random  
9 batch.

10 DR. SETZER: That might be a reasonable thing to do, yes.

11 DR. KENDALL: Okay. This will close our clarification  
12 discussion subsequent to Dr. Setzer's presentation. And now  
13 proceeding, the question has been proposed, at least, 1A and B. And  
14 proceeding that question I will open it to public comments. If there  
15 are any public comments at this time? Are there any public comments?

16 We have one listed, a Ken Pastoor.

17 DR. PASTOOR: Good afternoon. My name is Tim Pastoor. I'm  
18 with Syngenta Crop Protection and also participate in the IWG.

19 A couple of comments that we would like to make I think are  
20 pertinent in light of both what EPA has already done and I think the  
21 information that you're going to be grappling with in the couple of

163

1 days to come here.

2 First of all, I think what we want to do is make sure you  
3 understand very clearly that what was done here is a tremendous  
4 amount of effort with a very difficult problem. And I think the EPA,  
5 Anna Lowit, and Woody Setzer need to be congratulated for the effort  
6 that they put into this. It's a tremendous amount of work that was  
7 done. I think it's done in a substantial a scientifically credible fashion.

8 The best way to go about the risk assessment is obviously pick  
9 the best endpoint. Use that endpoint that best represents risk  
10 characterization. And I think in this kind of situation, they've done a  
11 marvelous job of coming up with the proper endpoint, which is female  
12 rat brain, representing the dose response characterization, and I think  
13 probably the best methodology that you can get, recognizing at the  
14 same time that our interpretations of these kinds of data are going to  
15 evolve with time. And as we look at these issues as we go forward,  
16 there may be different kinds of interpretations. But the work that was  
17 done here was probably as well done as you could expect to be done.

18 One issue we would like to bring up and make sure that the  
19 Panel is well aware of the upcoming issues around this is that the  
20 BMD10 that was used to establish the relative potency factors was  
21 very well done. But it brings into question in the course of the week

1 when you're looking at the time frames of expression for the risk  
2 characterization, the time frames have to match up.

3 In other words, the BMD10 that we have listed here is based on  
4 21-, 28-day studies, intermediate or chronic studies as some people  
5 refer to them. However, when you look at the dietary or residential  
6 exposure scenarios, they tend to be short-term exposures if not acute.  
7 One day there's a concatenation of acute exposures. So even though  
8 we're not we're not dealing with this right now, what we do want to do  
9 is apprise you and make sure you understand that will be an issue that  
10 I think needs to be very carefully considered by the Panel. Because  
11 when you come to the risk characterization process, it would be a  
12 numerator and did denominator that have to represent the same time  
13 frame for the risk characterization.

14 So we are very pleased with the effort that the EPA has put into  
15 this. The individuals that have been involved in this have done an  
16 remarkable job. But we want to make sure that you understand that  
17 there is still some things that need to be carefully considered as well.

18 DR. KENDALL: Thank you, Dr. Pastoor. Any questions form  
19 the Panel for him? Thank you very much, sir.

20 Any further public comments? Okay. Then we'll move into the  
21 questions which have been posed. The first one, please comment on

165

1 how the Agency addressed the recommendations listed above. We've  
2 structured responsibility within the panel, and all of us will contribute.  
3 Dr. Brimijoin, would you lead off, please?

4 DR. BRIMIJOIN: Well, I'm just going to try to moderate this  
5 discussion. So we're dealing with Question 1A, which they have  
6 divide into seven separate subtopics. And I guess our primary  
7 responsibility is to address each of these subtopics and perhaps  
8 anything else relevant of this general issue.

9 DR. KENDALL: The main thing is -

10 DR. BRIMIJOIN: THE main thing is get the answers to the  
11 question.

12 DR. KENDALL: I think the Agency would desire our feedback  
13 on the recommendations we gave them previously.

14 DR. BRIMIJOIN: Right. So now I have not prepared a  
15 point-by-point response to this. In fact, what I would like to do is be  
16 the official designated discussion on this Question 1A, myself, Patrick  
17 Durkin, Rory Conolly and Eugene McConnell.

18 The first point the EPA would like to know is our response to  
19 its requirements in the dose response analysis, in particular, regarding  
20 the derivation of the adjustment Factor B and modification of the  
21 decision tree for use in B.

1           And as I understand it, we are talking about the combined total  
2           approaching the final equilibrium level of inhibition at high dose  
3           scenario. And this was something which, at the time of the September  
4           meeting which I did not participate in, evidently, there were a number  
5           of sort of ad hoc solutions in place to deal with this issue.

6           But the document that we've received and the testimony we've  
7           had today from EPA, suggests that this is now been folded into this  
8           more sophisticated exponential and expanded exponential data.

9           So I would like to invite any of my panel members here who  
10          would care to comment further on that. Dr. Conolly, for example.

11          DR. CONOLLY: I don't think I have a lot to add beyond  
12          comments that were made this morning about the expanded model.  
13          From a biological perspective it makes sense, I think, to have a model  
14          that has a shoulder-like behavior. And since I was originally a  
15          biologist before I was a modeler, I am happy to see things like that.  
16          I'll stop at this point.

17          DR. BRIMIJOIN: Dr. McConnell. Dr. Durkin.

18          DR. DURKIN: All of my comments, other than just to commend  
19          the Agency for much of what they have done. I think they're responded  
20          to us extremely well. Only on one of the seven issues, and that is the  
21          use of individual animal data. And I don't know whether you want to

167

1 do that now or just save it.

2 DR. BRIMIJOIN: Actually, let's reserve that for just a moment.  
3 But, in fact, maybe we may be able collapse this discussion, at least  
4 among this primary discussants, and always like a comment from  
5 anyone else including the other modelers, especially the other  
6 modeling people on the SAP.

7 But so the next question would be as effectively all but the draw  
8 of the questions here appear to the appropriateness to adjustments to  
9 the model. So, actually, I'd like to know if any of the Panel members  
10 are dissatisfied with the treatment of B with the analysis of the  
11 residuals. That was a pointed issue in September.

12 And we've been provided with some graphs that do a person  
13 with a sort of typical pharmacologist appreciation for modeling to  
14 indicate that it's possible to get fits to these data sets which leave  
15 points scattered randomly about the lines as evidence of lack of bias.

16 We have revisions to the approaches to calculating the goodness  
17 of fit statistics. We may want some more discussion on this point of  
18 the appropriate measure of relative potency. I think that takes us out  
19 of the modeling realm and beginning to impinge on biology and  
20 regulation.

21 So then the first three points, are there any further comments



168

1 from anyone on the panel? Dr. Portier.

2 DR. PORTIER: On all three of these points, I think the EPA  
3 has responded as exactly as asked by this Panel from the meeting in  
4 September. I commend them on that. I'll note that you might find  
5 later I'm not happy with what we asked you to do. That's a whole  
6 other issue because seeing it tells you something else. But I want to  
7 make you clear that they have addressed exactly what we asked them  
8 to address, especially in the handling of the residuals and the change in  
9 the test.

10 DR. BRIMIJOIN: That's how it appeared to me. Let's turn to  
11 the appropriate measure of relative potency. I guess, there were two  
12 subissues which is the selection of BMD10 as a point of departure and  
13 as to whether that does or does not lead to a compromise in the safety  
14 factor that's built into the regulatory decision.

15 And I guess maybe the other subquestion would be about the  
16 comparative effects levels when you're dealing with the cases where  
17 it's difficult, if not impossible, to calculate a BMD10.

18 So we already had some discussion of the BMD10 this morning.  
19 I think I now understand that this is being used in fact in two ways.  
20 And it is being used first as a way of calculating relative potency, but  
21 also that selection is likely to drive the estimate of a reference dose

169

1 and safety factors.

2 So, Dr. Conolly, I think you have some insight into these  
3 matters.

4 DR. CONOLLY: Very little. I appreciated the discussion this  
5 morning on the two ways to look at the BMD. One to compare  
6 relative potency and then the other. And I hadn't considered the other.  
7 In fact, I'm looking at it to establish an reference dose.

8 My own view was if you want to compare the potency of one  
9 chemical to another, you picked the best place on the curve to do that.  
10 And I know you've given that a lot of thought and you've come up with  
11 a BM10, which I guess is okay based on when I heard. But I really  
12 can't comment other than that other than you spent a lot more time on  
13 this than I have. And if think that is the best way of comparing  
14 chemical A to B to C accurately, then I have to go along with you.  
15 And that's should be the object. I think the primary objective should  
16 be that for the exercise you're trying to do. The other should come  
17 much later in your procedures. Is that clear?

18 DR. SETZER: I think so, yes.

19 DR. BRIMIJOIN: I think so yes. Pass it down to the fellow.

20 DR. MCCONNELL: I think the spirit of my comment is much  
21 the same of Genes. You know, I think that basically the Agency has

170

1 done a good job with a difficult problem. Since as I mentioned earlier,  
2 my background is originally in biology and I'm very interested in  
3 mechanisms and I spend a lot of time pharmacokinetic mechanisms and  
4 pharmacodynamic mechanisms to some extent. And then you realize  
5 the complexity of the mechanisms of organophosphate,  
6 pharmacokinetics and pharmacodynamics and interactions and so on,  
7 you know, to bring out of all of that complexity a workable  
8 methodology for assessing cumulative risk is a challenging problem.  
9 And you've done this as reasonably as anybody could do it.

10 I have to say that from sort of a mechanistic biological  
11 perspective, I think that what we're doing here is a bit like looking at a  
12 basket of fruit that's got, say, an apple, a banana, and then an orange  
13 in it and then talking about an average fruit. It's not clear exactly  
14 what the means in the real world. But I don't know how to do it better  
15 without getting much more complex data sets and much more  
16 sophisticated models.

17 Again, maybe, on the other end of the axis from the -- approach,  
18 do it more mechanistically based approach. So it might not sound like  
19 it, but this actually is my vote of support for the way relative potency  
20 is calculated here.

21 DR. BRIMIJOIN: Dr. Durkin.

1 DR. DURKIN: In terms of your approach, other than the  
2 comments that I made earlier, I do have a concern that the effect level  
3 where you measured the relative potency given the kind of dose  
4 response that we have here has to be at the same response level that  
5 you would use for your benchmark dose. And you have done that and I  
6 have absolutely no quarrel.

7 If we were reviewing with red blood cell or plasma of  
8 cholinesterase, the 10 percent wouldn't even get my attention. That  
9 we're applying to brain cholinesterase, it does get my attention. That's  
10 not a criticism. I hadn't thought through that prior to coming down  
11 the well. And the only thing that I think I would ask for in the  
12 document itself is perhaps a little bit more of a biological discussion  
13 about if you are going to stick with a 10-percent depression of brain  
14 cholinesterase as your point of departure, somewhat of a discussion  
15 about what the clinical significance of that might be.

16 If it was plasma and red blood cells, I think it would almost be  
17 trivial. Brain bothers me a little bit more. But in terms of how you  
18 used and defined the relative potency, I have absolutely no quarrel.

19 DR. KENDALL: Dr. Rhomberg.

20 DR. RHOMBERG: Well, I think you set out the reasons for  
21 using the BMD10 very clearly. And I agree with them. I think that the

172

1 points were well taken.

2 I would like to say, though, that I was really sorry to see the  
3 reliance on M go away, the shape factor or slope or scale factor,  
4 whatever you call it, because that really did reflect the kind of  
5 equivalence across compounds that you are relying on for the whole  
6 rationale for the whole process. I understand why you had to do that.  
7 You had to do that because of the phenomenon of the shoulder. And I  
8 suppose, also, for the phenomenon for somewhat confusing calling B  
9 here, this. Refers to B in the July document, and that's different from  
10 B today which is the logit of PB if am I understanding it correctly.

11 DR. SETZER: That's right.

12 DR. RHOMBERG: So I understand why you had to abandon M  
13 because it doesn't work any more. But that's very pretty serious that it  
14 doesn't work any more. Because that under mines the whole rationale  
15 for dose addativity and looking at these things and using any relative  
16 potency no matter how well-considered and how well done as a way of  
17 adding up doses that are well below the BMD 10 level as ways of  
18 getting up towards some degree towards the BMD10 when they're  
19 acting together. And I'm not sure what exactly I would do about that.

20 I think I'm sort of with Rory Conolly here and saying, I don't  
21 know how exactly we could do it differently. But I think it's

173

1 something that is really of concern and it has to be aired the fact that  
2 now that you have dose response curves of different shapes, the very  
3 method that you're doing all this comparative potency in order to be  
4 able to carry out sort of has a twist on it and it doesn't really hold any  
5 more. I think you're sort of and doing it anyway and hoping that it  
6 will being close enough.

7 In view of that and in view of the seriousness of it, I think it  
8 would be really be important to try to rescue the notion of a the M  
9 factor as a way of looking at relative potency. Perhaps now doing it  
10 not in terms of administered dose, but doing it in terms of some kind  
11 of internal dose. The trouble is that you have some phenomena that  
12 are probably pharmacokinetic and really are not about the mechanism  
13 of action of action affecting the shapes of your dose response curves  
14 here.

15 Because you're only -- you're doing everything in terms of  
16 administered doses, you have to try to capture those effects in the  
17 dose response curve incorrectly and sort of incorrectly ascribing them  
18 to things that are really about the mechanism of action this point that  
19 you're trying to get descriptions of it as you're trying to capture in  
20 those dose response curves.

21 If you could split those things apart and say you got some

174

1 pharmacokinetic things that going on, if we can take care of them  
2 separately and then look at once you get some internal dose measures,  
3 even if they are empirical and crude, rather than the sophisticated  
4 PBPK ones, maybe you can rescue the common shape of the inhibition  
5 curve issue which would put you on a much sounder footing and lets  
6 you go ahead with the rest of the analysis.

7 DR. CONOLLY: I would like to say the same to that other than  
8 I think discussion needs to start.

9 DR. RHOMBERG: I would like to underscore Dr. Rhomberg's  
10 comments. I share his concerns about dose response analysis for that  
11 standpoint although I'm not as optimistic that M can be rescued, at  
12 least not in the time frame you have to work with.

13 On page 1B56 is where you talk about relationships among the  
14 dose response curves and acknowledge that they're not going to be  
15 parallel for some pretty good biological reasons. And I think that's  
16 why we talked about this in previous SAP meetings. Expecting them  
17 all to have nice parallel dose response curves is a problem and not  
18 realistic given the perhaps the pharmacodynamic and certainly  
19 pharmacokinetic.

20 Also on that section of the document there's sort of a  
21 discussion. I was a little bit concerned because the discussion talks

175

1 about, sort of deals with this by saying, well, we really think that  
2 addativity is probably a reasonable default and assumption for these  
3 sort of mixing -- versus the importance of parallelism and dose  
4 response curves. I think you're probably right. I haven't seen  
5 compelling evidence that there is a significant interaction at which it  
6 need to be factored into your risk assessment. So I think you're  
7 probably correct in assuming no interaction which would apply  
8 addativity.

9 The question is how you add. And the method selected was the  
10 relative toxic potency approach, as Lorenz pointed out, depends upon  
11 parallelism and dose response curves depending on where that doesn't  
12 exist. So the potency is going to be different depending upon where  
13 on the dose response curve you pick to establish that.

14 And I was one of the folks that sort of argued at the last  
15 meeting for BMD10 as opposed to some other -- because it's at the low  
16 end of the dose response curve. And it's probably from a practical  
17 standpoint about the best that you can do.

18 But having said that, of course, we're really mostly concerned  
19 with exposure that are going to be occurring at one 100th of a  
20 BMD10. And that's where the relative potency really matters for the  
21 purposes of this cumulative risk assessment. And I don't know that



176

1 you have any way to estimate what that BMD.

2 But I think it needs, as Lorenz says, I think it needs to be dealt  
3 with more candidly in the assessment. And this is a potential problem  
4 ... fundamental assumption that underlies the approach that we're  
5 using in this cumulative risk assessment. And you know, we think that  
6 that's a problem for whatever reason or do some kind of analysis that  
7 really talks about how this would effect the...

8 DR. KENDALL: Dr. Portier.

9 DR. PORTIER: I'm going to different differ with my colleges  
10 on this issue. And then I have another point.

11 First of all, I'm going to reiterate the fact that the Agency has  
12 done exactly what we asked them to do. I especially liked the  
13 reparameterization to direct the estimate of BMD in the algorithm. I  
14 thought that was clever and very useful. But for my comment that's  
15 going to come in a minute.

16 The panel may forget that our discussion regarding the use of  
17 potency was the fact that in the previous model the assumption was  
18 not dose addativity; the assumption was being used was potency  
19 addativity. And under the model that was being used, potency  
20 addativity was equivalent to dose addativity.

21 However, now as Steve has pointed out, we have gone to

177

1 models that potential a have different shapes. The Agency has, in fact,  
2 stuck with dose addativity by using BMD10. So they have kept to  
3 what we asked them to do in terms of clarifying how they're going to  
4 deal with the addativity issues... by dose addativity or something else.

5 So I want to make sure that we don't keep rethrashing through  
6 the same argument over and over again. That be clear this time what  
7 we want to do with that.

8 And would have appreciated some discussion of signal-to-noise  
9 ratio in the estimates. It's something we raised in our last discussion  
10 about how to chose the BMD. Do you choose 10; do you choose 5?  
11 And the argument was that you want to choose something that  
12 constrains the variance. Optimal variance is probably around 50 for  
13 most of these. And so some discussion about how variance relates to  
14 mean estimate would have been useful in looking at the BMDs.

15 I would have, also, have liked some objective demonstration of  
16 the choice of the BMD. Not just to use BMD10, but to chose 5, chose  
17 1, chose 10 and then evaluate it and tell me 10 falls within the range  
18 95 percent of the time; 5 falls in the range of the data 65 percent of  
19 the time. Just some observations that would allow me to feel more  
20 comfortable about the choice of 10 as compared to something else.

21 Per the question of whether the BMD10 or 5 or whatever that's

178

1 chosen for relative potency should be the same one as point of  
2 departure, I do agree with that concept in the context of this model;  
3 that if we have a optimal choice for a V and R for a response and you  
4 chose the BMD for that and do relative potency on that, I'm really  
5 happy with that. But what I'm not going to be happy with is that the  
6 margin of exposure is always going to be the same if I'm using 10  
7 percent, 5 percent, and 1 percent.

8           So I think the adjustment is not in terms of what we choose as  
9 our point of departure because to me it seems logical to use that as  
10 point of departure some optimized ought to be cross multiple data sets  
11 that deals with the concept of dose addativity. It's going to be in the  
12 margin of exposure that we have to make some adjustments because  
13 we're using in this analysis 10 percent and they use some other  
14 chemical a few years down the road where they use 1 percent because  
15 we have better data. And I think that's where the adjustment factor  
16 should be.

17           DR. BRIMIJOIN: I'm not sure if we can pull. I think we should  
18 try and see if we can reach some consensus on this point because EPA  
19 wants some specific advise from us. And as you say, they reacted to  
20 some specific advise. Before we're now fixating on some fairly  
21 obvious problems with that recommendation.

1           So the question I would pose to my fellow panel members is:  
2           Do we go forward saying that BMD10 is a point of departure and  
3           elements of relative potency is fraught with problems but is, in fact,  
4           the best compromised solution we have at the moment? Do we do as  
5           you seem to be suggesting, and it has some attractive features, is ask  
6           EPA to reevaluate their data sets. And if they can determine that with  
7           without too great a loss in precision, one could go down the scale.

8           And so we're talking about 5 percent or 2 percent or even 1  
9           percent effects that everyone would be more comfortable with that as a  
10          point of departure in lieu of the old days of the no adverse effect level.

11          So I think we should either, if we can reach any kind of  
12          consensus at all, recommend going forward as is with the possibility of  
13          reevaluation; or recommending that some sign of internal data or  
14          modeling review be conducted and a further decision be reached on the  
15          basis of the outcome of that.

16          We've heard one panel member expressing concern with  
17          10-percent inhibition of brain activity as a possible issue. And, you  
18          know, I guess I share that concern even though I'm well aware that it's  
19          almost impossible to detect acute effects at a behavioral level or from  
20          any inhibition that's much less than about 30 percent, even take 50  
21          percent. And yet I'm, also, uncomfortable with the idea that this

180

1 would be kind of the starting point.

2 -oo0oo-

1  
2  
3  
4  
5  
6  
7  
8  
9

CERTIFICATE OF STENOTYPE REPORTER

I, Jane F. Hoffman, Stenotype Reporter, do hereby certify that the foregoing proceedings were reported by me in stenotypy, transcribed under my direction and are a verbatim record of the proceedings had.

-----

JANE F. HOFFMAN

182

1     \*\*I-N-V-O-I-C-E\*\*\*\*   \*\*\*\*I-N-V-O-I-C-E\*\*\*\*

2     JANE F. HOFFMAN

3     849 S. Irving Street

4     Arlington, VA 22204

5     SS#305-52-5988

6     TODAY'S DATE: 2/20/02

7     DATE TAKEN: 2/05/02

8     CASE NAME: FIFRA SAP Preliminary Cumulative Risk Assessment

9     **TOTAL: -- PAGES: 262**

10    LOCATION OF DEPO: Arlington, VA

11    DELIVERY: Regular -- conference rate

12