

US EPA ARCHIVE DOCUMENT

- - - - - - - - - - -x
:
U.S. ENVIRONMENTAL :
:
PROTECTION AGENCY :
:
- - - - - - - - - - -x

FIFRA SCIENTIFIC ADVISORY PANEL (SAP)

OPEN MEETING

DRAFT PRELIMINARY PROBABILISTIC EXPOSURE AND
RISK ASSESSMENT FOR CHILDREN WHO CONTACT
CCA-TREATED WOOD ON PLAYSETS AND DECKS AND
CCA-CONTAINING SOIL AROUND THESE STRUCTURES

December 5, 2003

[8:34 a.m.]

Sheraton Crystal City Hotel
1800 Jefferson Davis Highway
Arlington, Virginia 22202

PARTICIPANTS

1 FIFRA SAP Session Chair

2 Steven Heeringa, Ph.D.

3 Designated Federal Official

4 Mr. Paul Lewis

5 FIFRA Scientific Advisory Panel Members

6 Fumio Matsumura, Ph.D.

7 Mary Anna Thrall, D.V.M.

8 FQPA Science Review Board Members

9 John Adgate, Ph.D.

10 Michael Bates, Ph.D.

11 Chi-Hsin Selene Jen Chou, Ph.D.

12 Natalie Freeman, Ph.D.

13 Marcie Francis, Ph.D.

14 Dale Hattis, Ph.D.

15 John Kissel, Ph.D.

16 Stan Lebow, Ph.D.

17 Peter Macdonald, D.Phil.

18 David MacIntosh, Ph.D.

19 Kenneth Portier, Ph.D.

3

1 Nu-May Reed, Ph.D.

2 Jim E. Riviere, DVM, Ph.D.

3 FQPA Science Review Board Members

4 Barry Ryan, Ph.D.

5 Jacob Steinberg, M.D.

6 David Stilwell, Ph.D.

7 Miroslav Styblo, Ph.D.

8 Donald Wauchope, Ph.D.

9

P R O C E E D I N G S

DR. HEERINGA: Good morning. Welcome to the third day of the meeting of the FIFRA science Advisory Panel and the discussion of the Preliminary Probabilistic Exposure and Risk Assessment for Children Who contact CCA-Treated Wood on Playsets and Decks and CCA-Containing Soil Around These Structures.

I'm Steve Heeringaj. I'm the session chair for this meeting of the SAP. I'm a permanent member of the SAP Panel. I am a research scientist and director of the Statistical Design Group at the University of Michigan's Institute for Social Research. My area of specialty is in applied statistics, biostatistics, and populations based-research.

I'd like the other members of the Panel to introduce themselves. Dr. Matsumura just arriving.

DR. MATSUMURA: Good morning. My name is Fumio Matsumura. I'm a professor of environmental toxicology. And my area of expertise is in general toxicology, molecular toxicology, and biochemical toxicology.

5

1 DR. Thrall: Good morning. Mary Anna Thrall,
2 professor of veterinary pathology at Colorado State
3 University.

4 DR. RIVIERE: Jim Riviere, professor of
5 pharmacology, North Carolina State University

6 DR. ADGATE: John Adgate. University of
7 Minnesota school of Public Health, Division of
8 Environmental and Occupational Health, exposure analysis
9 and risk assessment.

10 DR. FREEMAN: Natalie Freeman, Robert wood
11 Johnson Medical School; children's activity patterns and
12 exposure to metals and pesticides

13 DR. STEINBERG: JJ Steinberg. Albert Einstein
14 College of Medicine. I'm a professor there and involved
15 in environmental toxicology.

16 DR. STYBLO: Miroslav Styblo. Associate
17 professor of pediatrics and nutrition, University of North
18 Carolina Chapel Hill. Metabolism of arsenic and molecular
19 mechanism of arsenic toxicity.

20 DR. WAUCHOPE: I'm Don Wauchope. I'm a chemist

6

1 with the USDA agriculture research service in Tifton,
2 Georgia. And my research area is pesticide fate and
3 behavior in the environment.

4 DR. LEBOW: Stan Lebow. Scientist with the USDA
5 Forest Service out of Madison, Wisconsin, research on
6 environmental impacts of wood preservative and wood
7 preservative evaluations.

8 DR. STILWELL: Dave Stilwell, Connecticut
9 Agricultural Experiment Station. And I have experience
10 with dislodgeable arsenic and arsenic in soil.

11 DR. REED: Nu-May Ruby Reed, California
12 Environmental Protection Agency. I'm a toxicologist doing
13 pesticide risk assessment.

14 DR. RYAN: I'm Barry Ryan, professor of
15 environmental and occupational health at the School of
16 Environmental and Public Health at Emory University. My
17 special expertise is in environment exposure assessment.

18 DR. MACINTOSH: I'm David MacIntosh. I'm a
19 senior scientist at Environmental Health and Engineering
20 in Newton, Massachusetts. And I work in the area of

1 exposure analysis and risk assessment.

2 DR. FRANCIS: I'm Marcie Francis. I'm a senior
3 research scientist at Battelle specializing in exposure
4 assessment and exposure modeling.

5 DR. HATTIS: Dale Hattis, research professor at
6 Clark University, specializing in issues of risk modeling
7 and variability and uncertainty.

8 DR. PORTIER: Ken Portier, associate professor
9 of statistics, University of Florida, specializing in
10 environmental sampling statistical issues in probabilistic
11 risk assessment.

12 DR. MACDONALD: Peter Macdonald. I'm a
13 professor of mathematics and statistics at McMaster
14 University in Canada, general expertise in applied
15 statistics.

16 DR. KISSEL: John Kissel, University of
17 Washington, Department of Environmental and Occupational
18 Health Sciences, human exposure assessment.

19 DR. HEERINGA: Thanks again to the members of
20 the panel.

1 And I want to, before we turn for the agenda for
2 the morning, which is a continuation of the questions, I
3 want to ask Mr. Lewis, Paul Lewis, the designated public
4 official, and I believe Larry Dorsey, the secretary for
5 the Science Advisory Panel, if they have any announcements
6 that they'd like to make.

7 MR. DORSEY: Thank you, Steve. I'd just like
8 comment to the Panel. We're monitoring the weather
9 conditions. I think in Washington, we're going to be
10 fine. It appears to be in the 30s today. We're also
11 checking your various airport to make sure they're still
12 open. I want to assure you that you'll be taken care of.

13 We've checked at the hotel. There are rooms tonight in
14 case somebody doesn't make a flight. There are
15 connections. So what I'm going to suggest, if at noon if
16 you are concerned that an airport is open or a connection
17 might not be made, would you please check in the break-out
18 room. We have a person from MegaTech there that is
19 checking SATO Travel for you. I think everybody will be
20 fine. But we just want to make sure your comfort level is

9

1 okay. And if you have any questions, please ask me or
2 check me in the break-out room. Thank you, very much.

3 DR. HEERINGA: We'll check everyone's risk
4 tolerance on the weather here. Paul, Mr. Lewis.

5 MR. LEWIS: Thank you, Dr. Heeringa. Welcome to
6 the third and final day of our meeting of the FIFRA
7 Advisory Panel. I think we can all agree we had some
8 challenging and interesting discussion in the past several
9 days.

10 As I mentioned, FIFRA SAP operates under the
11 guidance of the Federal Advisory Committee Act. Again,k
12 this is an open meeting. All materials for this meeting
13 is available in our docket including the report that will
14 summarize the Panel's deliberations that began yesterday
15 afternoon and will continue today.

16 For members of the panel, as Mr. Dorsey
17 mentioned, please, if anyone needs to leave early today
18 for the meeting, please approach me beforehand and provide
19 your comments to the lead discussant for the question or
20 questions that you're assigned to. That way we can have

10

1 earmarks as we begin writing our report. Thank you.

2 Dr. Heeringa.

3 DR. HEERINGA: One last final administrative
4 note. I'd like extend my thanks to Dr. Matsumura for
5 filling in yesterday afternoon while I was in College
6 Park. Thank you very much.

7 At this point in time, before we turn to the
8 questions, we'd like to give the staff of the
9 Environmental Protection Agency a chance to either present
10 points of clarification from discussion on the past two
11 days or comments to sort of direct our responses, items
12 that we may have missed or items of interest that you'd
13 like to provide.

14 Mr. William Jordan of the EPA,

15 DR. JORDAN: Thank you, Dr. Heeringa.

16 My name is Bill Jordan. I'm a senior policy
17 advisor in the Office of the Pesticide Programs. And on
18 behalf of all the folks from EPA, we want to express our
19 appreciation to the Panel for your comments. So far,
20 we've found them very thoughtful, very helpful, and they

11

1 will help us to do a better job in the next iteration of
2 the risk assessment.

3 In our sense, the way in which the Panel is
4 approaching the discussion of these issues gives us ample
5 opportunity to respond to areas where you have questions
6 and clarify some of the points that AZ raised in the
7 public comments. So we are happy to continue with that
8 approach, and, frankly, don't want to delay matters any
9 longer than necessary. Even know you did come to
10 Washington to enjoy the weather.

11 Let's go ahead and tackle the questions.

12 DR. HEERINGA: Very good. Okay. Without
13 further adieu, let's move on. I believe we are at issue
14 No. 4. Is this right, Paul?

15 MR. LEWIS: That is correct.

16 DR. HEERINGA: Issue No. 4. Mr. Jordan, would
17 you, please, read the question. Excuse me. I'm sorry.
18 Dr. Ozkaynak.

19 DR. OZKAYNAK: EPA's draft CCA exposure
20 assessment includes a formal sensitivity and uncertainty

12

1 analysis as well as discussion of various sources of
2 uncertainty in the model analyses.

3 Question A: THE panel is requested to comment
4 on the utility and suitability of the statistical
5 diagnostic tools used by SHEDS for analyzing model results
6 (e.g., variability analyses, sensitive analysis,
7 uncertainty analyses).

8 DR. HEERINGA: And our lead discussant to
9 respond to the questions on this issue is Dr. MacIntosh.

10 DR. MACINTOSH: First, I'd like to say that this
11 issue, the associate discussants are Dr. Ryan, Dr.
12 Francis, Dr. Hattis, and Dr. Portiere. And given this is
13 the third day we're here, we've had the chance to talk
14 about this together. And I've received both written and
15 verbal input from each of them and have attempted to
16 incorporate that, their comments as well as mine into a
17 single initial response. So I'll read that and then ask
18 each of them to provide any additional comments as we go
19 along.

20 Results from the SHEDS-Wood model runs were

13

1 analyzed to identify the influence of model inputs on
2 model output. More specifically, the Agency used a series
3 of sensitivity analyses to identify the model inputs with
4 the greatest influence on interindividual variation of
5 estimated CCA-absorbed doses. Likewise, the Agency used a
6 similar set analytical methods to determine the model
7 inputs that contributed most to uncertainty in the model
8 output. I'm going to talk about each of those in turn.

9 With respect to the sensitivity, the SHEDS-Wood
10 model developers used two approaches. The first, they
11 referred, or maybe we did, I'm not sure, as the scaling
12 approach. This is where they altered or perturbed each
13 input by a factor of 2 up and a factor of 2 down and did
14 that individually for each input and ran the model and
15 compared the output by looking at the median and the upper
16 and lower bounds of the 90 percent confidence interval on
17 that population. Maybe not a confidence level.

18 We found that this scaling approach was useful
19 because it is easy. One reason is because it's easy to
20 understand that type of perturbation to the inputs. It's

1 intuitive and you can work with it.

2 On the other hand, we found that it has some
3 limitations principally because of issues related to scale
4 with respect to that actual dynamic range of the input
5 parameters themselves. The scaling up and down by a
6 factor of 2 invokes a parametric response essential. In
7 that sense, the range of the variables as measured by the
8 standard deviation is more relevant in a parametric sense.

9 That said, it's also important to note that some
10 of the variables do not display variability while others
11 display a considerable range. Thus, in this factor of 2
12 sensitivity analysis approach, the scaling approach, one
13 may be seeing a sensitivity in response of the model
14 that's an artifact of including too much variability; or
15 likewise, an artifact of including too little variability.

16 For this reason that SHEDS-Wood developers should
17 consider foregoing this factor of 2 method altogether
18 where possible.

19 In some cases scarce data may necessitate the
20 factor of 2 or a similar approach but we express some

1 reservations, nevertheless, that the variables deemed most
2 sensitive may be misspecified under this scaling approach.

3 In that plus-or-minus-one standard deviation
4 method that was also used, we found this method to be
5 appealing because that change in that input variable is
6 normalized with respect to the variability assigned to the
7 parameter. In other words, that scaling afford by
8 perturbing the variable plus-or-minus-one standard
9 deviation also includes information on that likely range
10 of the variable.

11 We noted, though, that in cases where there's
12 limited data the plus-or-minus-one standard deviation
13 approach sometimes gave negative results, that is in the
14 case of a skewed distribution, because it seemed to us
15 that a parametric approaching the arithmetic mean and the
16 arithmetic standard deviation was used. Therefore, we
17 think it might be more useful to instead of perturbing the
18 variables by a plus-or-minus-one standard deviation, to
19 instead just use something like the 16th percentile and
20 the 84th percentile. That way you're ensured not to go

16

1 into these negative ranges. In fact, Dr. Hattis indicated
2 that that indeed was that intention of the 2000 SAP in
3 their recommendation.

4 Lastly with respect to that sensitivity
5 analysis, the model developers used a stepwise regression
6 approach in which case they rank the models with respect
7 to their contributions to variability by the partial r
8 squared associated with each term. Clearly, this is a
9 more rigorous statistical tool than that two previous
10 methods. And among other benefits, you can attempt to
11 reflect sensitivity of one input while controlling for the
12 influences of other inputs and, therefore, yield a
13 potentially more accurate and useful characterization.

14 However, regression analyses require assumptions
15 about that distributions of the dependent and independent
16 variables, for example, independence and normality. And
17 the extent to which these assumptions are not met, the
18 results of the regression analysis are subject to some
19 limitation.

20 We think that the Agency should acknowledge

1 these potential limitations and determine the extent to
2 which their conclusions could be influenced by statistical
3 considerations.

4 Lastly, that stepwise regression approach is
5 useful for only the nonpoint parameters as useful but
6 parameters some of which could be important too.

7 Looking at that uncertainty analyses that were
8 done, we have many of the same comments. Just to
9 refreshing everyone's memory there, for examining the
10 relationship between uncertainty in a model input with the
11 model outputs, the developers used Spearman and Pierson
12 correlation analysis looking at associations between the
13 mean value for inputs and the mean value for outputs. And
14 they also used stepwise linear regression reporting again
15 that partial r-squared. And essentially because the
16 Pierson and stepwise regression are also two parametric,
17 the comments that we had about the stepwise previously
18 apply here too.

19 So in conclusion with Question A and my
20 synthesis of comments here, we find that in general, the

18

1 analysis of the SHED-Wood model results has been
2 approached in a useful and suitable manner. As of yet,
3 there is no scientific consensus on the single best method
4 to analyze output for that model for these purposes.
5 Therefore, the use of several different methods to examine
6 relationships between inputs and outputs that were used in
7 this case are considered to be appropriate.

8 And importantly, the results of the different
9 methods for the sensitivity and the uncertainty analyses
10 are reasonably consistent suggesting that the conclusion
11 drawn from these analyses are robust with respect to the
12 choice of analytical method. We find that comforting.
13 Nevertheless, the results of the sensitivity and
14 uncertainty analysis may be limited by discrepancies
15 between the data the choice of that statistical tool
16 referred to earlier.

17 So I'll turn this over.

18 DR. HEERINGA: Thank you very much, Dr.
19 MacIntosh. Are there any additional comments from the
20 associate discussants? Comments from any other members of

19

1 the Panel?

2 Thank you very much. I think we can move on to
3 Part B.

4 DR. OZKAYNAK: Question B: Is the bootstrap
5 approach that is used for fitting uncertainty
6 distributions, which has been revised in response to prior
7 SAP comments, implemented properly, or are there
8 alternative approaches that are recommended?

9 DR. HEERINGA: Dr. MacIntosh.

10 DR. MACINTOSH: Thank you very much. A much
11 shorter answer this time.

12 It appears that bootstrap approach is
13 implemented appropriately. Alternative approaches are
14 available for fitting uncertainty distributions from
15 available data. However, in our judgement, alternative
16 approaches are unlikely to yield results that are
17 sufficiently different to make an appreciable difference
18 in the over all results.

19 In addition, addressing other sources of
20 uncertainty in the data and model may yield more

20

1 substantive improvements in the modeling system and its
2 results for this particular application. For example, the
3 bootstrap approach cannot be used to express uncertainty
4 for variables for which there are few data. And
5 therefore, spending time on that may be more beneficial
6 than exploring alternatives to that bootstrap approach.

7 DR. HEERINGA: Thank you very much. Any other
8 comments from that Panel? Dr. Hattis.

9 DR. HATTIS: I would just like to add in
10 response to Question D below, we'll talk -- the bootstrap
11 approach, I think, is likely to adequately capture
12 fluctuations due to sample size. And to some extent, the
13 analysts' subjective impression of the strength of their
14 data from the data they have in front of them, there are
15 some additional sources of uncertainty in particular the
16 possibility of systematic errors, unrepresentativeness of
17 the population studied, that sort of thing, that are
18 likely not captured. And we'll talk a little bit more
19 about ways of assessing that in Question D below.

20 DR. HEERINGA: Very good. Dr. Macdonald.

21

1 DR. MACDONALD: I'd like Dr. Hattis to explain
2 what you mean by sensitivity to sample size. Which sample
3 are you talking about?

4 DR. HATTIS: Essentially the sample size of the
5 set of data that they have what's represented as B in the
6 terminology of the -- essentially, this is captured by
7 that number of iteration or the number of draws from that
8 nonparametric distributions -- bootstrap -- for that
9 nonparametric bootstrap that is done. So essentially, if
10 one has only three values, the spread and you sample three
11 values from a defined distribution for a parametric
12 bootstrap or from the empirical distribution for a
13 nonparametric, you get a wider spread of sets-of-three
14 values of fitted parameters from sets-of-three values than
15 you would from sets-of-thirty values. And that's the
16 sense in which I'm using the term "sample size."

17 DR. MACDONALD: You're referring then not to the
18 size of the bootstrap sample but the size of the sample in
19 which the original distribution was based.

20 DR. HATTIS: Well, I guess I'm referring to the

22

1 size of the bootstrap sample which is inspired by the
2 author's evaluation of the size of the data sets that's
3 contributing to their distributions.

4 DR. MACDONALD: Well, as report coordinator, I'm
5 responsible for making this clear in the final version. I
6 trust that it will be by then.

7 DR. HEERINGA: Just to complete that comment, I
8 think that the issue of the influence of the sample of the
9 underlying data of which that bootstrap is formulated and
10 that size of the bootstrap samples themselves used to
11 simulate the bootstrap distribution will be clarified by
12 Dr. Macdonald.

13 Any other comments? Okay. Question C.

14 DR. OZKAYNAK: Question C: Are the uncertainty
15 distributions assigned to chemical and non-chemical
16 specific model input parameters appropriate?

17 DR. MACINTOSH: To say that we would limit in
18 response to this particular subquestion to those
19 parameters that were treated uncertain in a probabilistic
20 sense.

1 In cases where that available data were
2 applicable, in other words, specific to the model use and
3 representation of an appropriate U.S. population of
4 children that you intended to model, then the uncertainty
5 distributions described in the SHEDS-Wood report are
6 probably reasonable and generally appear appropriate. And
7 as we said in a previous question, it's our judgment those
8 distributions are fairly robust to the method chosen to
9 represent the uncertainty.

10 That said, I want to make a quick side note.
11 Again according to Dr. Hattis who had served on the
12 previous SAP on this issue, his recollection is that the
13 SAP recommended the uncertainty analysis include modifying
14 the distributional form of an uncertainty expression in
15 addition to simply altering the parameters for a given
16 type.

17 Now, in cases where the available data are not
18 specific to their use in the model or representative of
19 the U.S. population or the model population here which is
20 largely the case for the parameters in this model, then

1 the uncertainty distributions generated by the bootstrap
2 method may not be appropriate. We learned for example
3 that the videography studies used to quantify
4 hand-to-mouth frequency included few, if any, children on
5 public playsets, residential playsets, residential decks
6 and the soil around them.

7 We also know that absorption rates used in the
8 model were based upon animal models exposed to certain
9 concentrations of CCA or arsenic; and yet there appeared
10 to be little consideration of animal-to-human
11 extrapolation or possible concentration-dependent effects
12 in the uncertainty analyses. Other examples exist. They
13 will be identified by the SAP and considered for inclusion
14 in our final report.

15 DR. HEERINGA: Yes.

16 DR. XUE: I would like to respond for one item
17 in terms of the form of distribution raised by 2002 SAP.
18 We do did some analyses. Because first of all, we cannot
19 systematically to do certain analyses in terms of change
20 of form of a distribution. But after sensitivity by

25

1 scaled down standard deviation by a factor of 2 we've
2 identified the important key input. Then we change the
3 distribution because we fit the distribution. Sometimes
4 we fit five distribution to set one of it. Then we change
5 that distribution.

6 I'd like to show slide X-4, X-4, 47. So they
7 have, when we fit the distribution for the residue on the
8 transfer efficiency, because this is a deck concentration
9 and that transfer efficiency. This is the most important
10 one. We change it from log normal into Weibull
11 distribution to see what's effective on total exposure.
12 We found that the effect is very, very -- is also very
13 robust and not much changed. So this is the distribution
14 we did. And this results, we already gave it to SAP
15 Panel. You can look at this analysis.

16 DR. HEERINGA: Thank you. And just to be clear
17 here, that based on underlying data for this transfer
18 coefficient, you fitted to a maximum likelihood or method
19 of moments, initially a log normal distribution, and
20 alternately a Weibull distribution; and simulations were

26

1 run on those two input distributions.

2 DR. XUE: Correct. So this is the result.
3 Here, I can't see clearly. One is I remember one is the
4 residue concentration in the deck, and the playset
5 concentration in the deck. And also another one is
6 transfer efficiency. These are the three most important
7 input for all SHEDS model.

8 DR. HEERINGA: Thank you very much.

9 DR. OZKAYNAK: I also want to clarify or perhaps
10 maybe request a clarification. I think that maybe I
11 misunderstood what Dr. MacIntosh said. We have taken at
12 heart the recommendations from Dr. Hattis and the SAP from
13 last year. And we have indeed come up with new forms of
14 distributions. And as Dr. Xue reported now, we have
15 looked at alternatives and how they influence the results.

16 So we have looked at other forms of distributions, and
17 they're not assumed in prescribed sets of which we have
18 done the previous SAP.

19 DR. HEERINGA: Thank you very much, Dr.

20 Ozkaynak.

27

1 DR. OZKAYNAK: Thank you.

2 DR. HEERINGA: Dr. Hattis.

3 DR. HATTIS: I can say that we're gratified by
4 the responsiveness of this study team to our earlier
5 suggestions.

6 DR. HEERINGA: I guess we can move on to
7 Question D.

8 DR. OZKAYNAK: Question D: The Panel is
9 requested to comment on whether the modeling approach and
10 documentation appropriately identify and address critical
11 sources of uncertainty in the model and the resulting
12 exposure estimates. Does EPA's documentation adequately
13 describe the uncertainties inherent in the data used for
14 modeling and the influence of these uncertainties on
15 interpretation of the modeling results?

16 DR. HEERINGA: Dr. MacIntosh.

17 DR. MACINTOSH: In general, we found that the
18 EPA's documentation contains a reasonable, although
19 sometimes limited, description of the uncertainties
20 inherent in the data and the influence of those

1 uncertainties on the interpretation of the modeling
2 results. That the uncertainty analysis has potentially
3 important limitations is suggested by the uncertainty
4 bounds described in that exposure assessment report.

5 For example, that 90 percent confidence interval
6 for uncertainty about the median lifetime average daily
7 dose of arsenic ranged over a factor of 4. And this range
8 of uncertainty struck many members of the SAP as
9 surprisingly narrow. And Dr. Ozkaynak made a similar
10 observation during his comments on the strengths and
11 limitations of the modeling implementation.

12 The unexpectedly small range of uncertainty may
13 in part be a result of the decision to use only the
14 bootstrap approach to characterize uncertainty, and
15 thereby was necessarily limited to parameters for which
16 data were available to support that type of analysis.
17 This strategy means that some potentially important and
18 highly uncertain variables were omitted from the
19 uncertainty analysis.

20 Some examples are the average number of days per

1 year that a child plays on or around a treated public
2 playset, the fraction of children with a CCA-treated
3 residential playset, the average number of days per year
4 that a child plays on or around that CCA-treated
5 residential playset, the fraction of children with a
6 CCA-treated residential deck, the average number of days
7 that a child plays on that deck, and also the 8-day diary
8 location activity information.

9 Also omitted from that uncertainty analysis is a
10 lack of knowledge about the appropriate scenarios to
11 include in the model and the algorithms and corresponding
12 used to simulate certain physical events. At least some
13 of these scenarios and algorithms were identified in the
14 materials submitted to SAP members prior to the meeting
15 and during the public comment period during this meeting.

16 And some examples are as follows. Exposures
17 associated with water and mulch that we heard about
18 yesterday, effectiveness of sealants as a function of
19 time, wood condition, and other factors for containing CCA
20 residues, potential of unloading events from the skin,

1 assumptions about the arsenic chemical form and oxidase on
2 available for transfer of CCA residue to skin, and
3 subsequent absorption perhaps associated with that
4 leaching suggested by the changing chromium to arsenic
5 rations described in the public comments by Dr. Ruby and
6 other.

7 Also transient changes in exposure conditions
8 that could have a substantial influence on short-term
9 exposures including sanding, sawing, changes in pH
10 associated with maintenance of decks.

11 In addition, same factor I mentioned before:
12 the absorption fraction as related, the Agency chose to
13 use an absorption fraction approach. But there are
14 alternatives such as a physical model of dermal absorption
15 that is described in EPA's guidance for dermal exposure
16 assessment.

17 At this time, the SAP can only speculate about
18 the influence of these types of uncertainty on the model
19 results. It's clear, however, that additional and
20 potentially critical sources of uncertainty remain to be

31

1 addressed.

2 DR. HEERINGA: Thank you very much, Dr.
3 MacIntosh. Any additional contributions from associates?
4 Dr. Hattis.

5 DR. HATTIS: Yes. I had, first, another odd
6 observation from the data that maybe you folks would like
7 to comment on or comment on later when you do the next
8 iteration. And that is looking at Figure 37 on page is
9 50, the uncertainty plot for the --

10 DR. HEERINGA: In that exposure report?

11 DR. HATTIS: Yeah, in the exposure report.
12 That's right. In that average daily dose for arsenic in
13 warm climates. What I noticed is that there didn't seem
14 to be much increase in the spread between the 5th
15 percentile and 95th uncertainty percentiles between the
16 left-hand curve and the center of curve or between the
17 right-hand in the center of the curve. So essentially
18 what I intuitively expected would be that extreme
19 percentiles the distribution should be more uncertain than
20 that center of the distribution. And I don't see it.

32

1 So I'm obviously -- my intuition is obviously
2 wrong, and I'd like to know why it's wrong. If you have a
3 feel for that and can respond soon, than that would be
4 great. But you don't have to.

5 DR. XUE: This is one of my explanation because
6 this figure is just from three populations, not adjusted
7 for the whole population. This is base mainly look at the
8 variability. And for answer to your question, another
9 thing. Because it comes from three separated populations,
10 so these results is not stable at all. This figure in
11 terms of the uncertainty. The different one is not stable
12 because it only come from three populations selected by
13 media to get this.

14 DR. HATTIS: Okay. I'm not completely getting
15 it, but that's all right.

16 DR. XUE: If you look at that next figure, this
17 is for -- I think that lower is a little bit high.

18 DR. HATTIS: Yeah, okay. There may be a little
19 bit more spread.

20 DR. XUE: For that first figure, it's just when

33

1 we do this, we select a (inaudible) media, selected three
2 populations. Three from -- if we run 300, we only select
3 three, three ones. Then we put their variability there.
4 So this is the results for uncertainties not stable.

5 DR. OZKAYNAK: There are 298 sets of CDFs. So
6 these are selected three out of the 298.

7 DR. HATTIS: Oh, yes. What I thought this was
8 was a plot of the uncertainty percentiles across that 180.

9 DR. OZKAYNAK: That's that second one.

10 DR. XUE: That one.

11 DR. HATTIS: And that shows a little more
12 spread. All right. Evidently in my quick reading, I
13 didn't quite grasp it.

14 DR. HEERINGA: Thank you for that clarification.

15 I think that's important. I think most of us would
16 expect to see these bounds flare as we approach that
17 extreme percentiles particularly on the upper end if it's
18 a skewed distribution.

19 DR. PORTIER: I wanted some clarification. You
20 generated 480 individuals, and then you modified the

34

1 parameters as you ran them through or you ran the same 480
2 individuals. I was looking at this 480 individuals and
3 189 uncertainty runs. Right?

4 DR. XUE: So basically what we do is that we
5 first have one set of parameters. This comes from
6 bootstrap, each pair. Then use each pair number, run
7 480s. Then we get the results and we study the results.
8 Next time from another pair, we get another run. Then we
9 run another 480. Run about 300 times.

10 DR. PORTIER: Right. You need to change the
11 write up on page 80 to clarify that. It sounds like you
12 first generated 480 and then those 480 were passed through
13 189 scenarios rather than you generate 480 individuals to
14 189 different parameterization scenario which is what we
15 expected to occur. Right.

16 DR. OZKAYNAK: Sure. We'll make sure that we
17 clarify that.

18 DR. HEERINGA: Thank you. And we'll be sure in
19 our PANEL report to include that recommendation, specific
20 suggestion.

35

1 DR. HATTIS: I have a little amplification of
2 the Uncertainty, overall uncertainty issue, some of which
3 was captured in your earlier discussion but not quite all.

4 Generally, it's likely that overall
5 uncertainties are under stated because, first, influential
6 variables for which no variability estimates were made
7 also not subject to the bootstrap which was covered
8 earlier. And, second, any procedure that relies on
9 internal fluctuation within a data set will tend to
10 incorporate only random error and neglects sources of
11 systematic error among studies such as
12 unrepresentativeness of the study population for the
13 target population of exposed children.

14 For example, your 160 Los Angeles children might
15 be representative of the whole nation, and it might differ
16 somewhat. And that's true for many of the cases.
17 Pennsylvania boards might not fully reflect all of the
18 cold climate boards, et cetera.

19 And there's no way you can get any information
20 directly on that subject from the fluctuations within that

1 Pennsylvania data set. And this is not a well recognized
2 and analyzed problem within the statistical community, I
3 must say.

4 But going back, to deal with that first point,
5 that is that lack of uncertainty estimates for that single
6 point parameters. I mean basically the only feasible
7 approach is to use professional judgement or a formal
8 expert solicitation, but that can be expensive, to arrive
9 at some reasonable estimate of uncertainty, perhaps
10 informed by estimates of uncertainty for other parameters
11 for which you have more information. Although that's a
12 little hazardous.

13 For that second problem, that is the systematic
14 error, the magnitude of unsuspected systematic error in
15 procedures for inflating conventional standard error types
16 estimates of uncertainty have been empirically studied in
17 a series of papers by Alec Schlecter, who is a Russian
18 emigre physicist who recently passed on.

19 The basic observation -- this is from highly
20 sophisticated statistical folks. These are physicists,

1 measuring elementary particle properties like the weight
2 of a particular boson or the speed of light. The
3 observation is that when newer, more accurate measurements
4 are made, they wander systematically farther from that
5 previously estimated confidence limits than would be
6 expected by chance. So the idea is that we can use some
7 empirical observations of how accurate our standard error
8 type estimates of uncertainty are to inflate the estimates
9 of uncertainty that we get from the purely observation of
10 random error.

11 This is almost never done. Okay. But if you
12 want to describe uncertainty. And this is where I think
13 part of the cutting edge is or some would say beyond the
14 cutting edge. But nevertheless, if you want to be that
15 truth about uncertainty, I think some expansion of the
16 random fluctuation calculated uncertainties is in order.
17 And I've described to some extent very limited
18 applications of this in some papers of mine.

19 Ideally, you would want to draw the rules for
20 such expansion from experience within the types of

1 environmental parameters that you're dealing with rather
2 than measurements of physical parameters of physicists.
3 But I think that you can confidently say that the
4 biologists and engineers for making the physical
5 measurements are likely to be no more free of systematic
6 error relative to random error than the physicists are.

7 So you could use at least the physicists
8 estimates of how to inflate as a starting point if you
9 want to do something sooner and say, for sensitivity
10 analysis, how much would our uncertainty inflate in
11 recognition of that. It turns out that the shape of the
12 uncertainty distribution is indicated not as Gaussian but
13 some exponential shape. But I provide in a paper ways of
14 easily converting between Gaussian and these expanded
15 confidence limits. So it's not hard to do in calculation
16 terms.

17 But it seems to me that one interesting
18 observation is that we would observe that the existing
19 estimates of uncertainty and various parameter
20 distributions that you just made can offer an invaluable

39

1 opportunity to explore and calibrate this possible avenue
2 of uncertainty evaluation if, for example, you make
3 improved estimates and representation measurements of key
4 model parameters as suggested in some detail by Dr.
5 Chassion yesterday. This would provide the basis for
6 assessing the degree of under estimation of uncertainty
7 that results from that techniques you've just applied in
8 making these estimates.

9 So what I want to say is these are valuable
10 uncertainty estimates. Even if they later prove to be
11 wrong, even if you later find that, like the you have
12 understated the uncertainty, it give you a clue as to how
13 to inflate more appropriately uncertainty estimates for
14 future studies. So I would urge that even if you think
15 that there's not, even beside the relevance of improved
16 estimates of these parameters for decision-making on CCA,
17 you should also look at it as a research effort in now
18 evaluating this brand new, well, relatively new, tool for
19 uncertainty analysis that you've created.

20 DR. HEERINGA: Thank you very much, Dr. Hattis.

40

1 I would like to ask if there are any other comments from
2 the Panel.

3 DR. XUE: I just clarify one point about the
4 bootstrap. We did not use the actual sample size others
5 that B for bootstrap because we know that the data
6 limitation. And that's why we part of this uncertainty
7 and use all of the data available so that I'll make sure
8 all this data is different data source were included. And
9 when we don't have data, we have give as much uncertainty
10 as possible. We also know that they have limitation,
11 random -- and we already ask other people to do more
12 research on this uncertainty.

13 DR. HEERINGA: Very good. I think that's clear
14 in the exposure and that sample sizes for modified
15 actually reflects the relationship to that quality of
16 input data. So that comes through.

17 DR. HATTIS: A subjective evaluation of how much
18 systematic error and random error there might be. The
19 experience is that subjective estimates of uncertainty as
20 well tend to understate real uncertainty. And it's

41

1 measured a couple of different ways. And this also
2 reinforces that desirability of taking the opportunity of
3 new measurements to calibrate this.

4 DR. HEERINGA: Thank you very much. At this
5 point in time, we're ready to move on to Question E.

6 DR. OZKAYNAK: Question E: Does the Panel
7 recommend performing any additional uncertainty analyses
8 to evaluate the impacts of using alternative input
9 distributions on the modeling results (e.g., to address
10 uncertainties in various factors determining the frequency
11 of children's exposures to CCA-treated wood in playsets
12 and decks)?

13 DR. HEERINGA: Dr. MacIntosh.

14 DR. MACINTOSH: Well, the short answer to that
15 Question E is yes. And we've just heard from Dr. Hattis
16 and others about possible approaches to performing
17 additional uncertainty analyses.

18 In a related topic, we think it's also important
19 that the Agency articulate the purpose of this uncertainty
20 analysis because the purpose is closely related to the

1 methods that is used to characterize uncertainty. In
2 other words, having a clear purpose is important for
3 establishing the conceptual framework for describing
4 uncertainty. And as a results, it aids in defining the
5 scope and methods of the analysis.

6 For example, the purpose could be to
7 characterize the entire likelihood function of plausible,
8 hypothetical population-based, probability distributions
9 for CCA absorbed dose or exposure.

10 this purpose might be of greatest interest to an
11 EPA program office such as OPP. The current exposure
12 assessment does not appear to have this purpose, however,
13 because we know that potentially exposure scenarios and
14 exposure-related mechanisms and parameters were not
15 included in that formal uncertainty analysis.

16 It reminds me of the venerable parable about the
17 man who lost his keys along a dark under street and is
18 looking for them under the street light or the lamppost.
19 When asked why he's looking there, the man replies,
20 because that's where the light is. As a result, he has

1 little chance of finding his keys. All right. And by
2 analogy, the current uncertainty analysis is limited to
3 where that data are sufficient to support the bootstrap
4 analysis methodology. As a result, this strategy has
5 little for finding the true range of possible and
6 plausible exposure distributions for the model population.

7 Similarly, however, the purpose of the
8 uncertainty analysis could be to characterize uncertainty
9 associated with relatively data rich parameters within the
10 historical model framework and CCA-exposure scenarios of
11 SHEDS-Wood. Even though much more limited in scope than
12 the first example, this purpose is fine. And it clearly
13 has scientific utility.

14 In my opinion, this second example of purpose is
15 approximately an accurate description of the uncertainty
16 analysis that's contained in that report. As such, I
17 think that purpose should be clearly, again, articulated
18 in the report, so that the reader has some idea of what's
19 represented by this uncertainty. And even the goals of
20 the uncertainty analysis.

1 At that same time, the readers and users of the
2 results should be cautioned against a false sense of
3 security about that accuracy about that uncertainty
4 analysis. Methods to assess the impact of data posit can
5 be suggested, and Dr. Hattis, suggested one. There are
6 others. We note that we find it fortunate that we
7 actually don't have to --in the words of Dr. Ryan -- bell
8 the cat here. And we don't envy the task that SHEDS-Wood
9 team would have to undertake to perform this.

10 However, we also note, and this following up on
11 a comment by Dr. Hattis, without knowledge of these
12 components of uncertainty and variability, it's very
13 likely the uncertainty in the estimates made is itself
14 underestimated. Additional comments?

15 DR. HEERINGA: Thank you very much. Are there
16 any additional comments from the discussants?

17 I think in our report we will try to be clear on
18 this distinction on the uncertainty analysis is
19 essentially as conducted here as I interpret it is related
20 to testing the uncertainty associated with the building of

45

1 the distributional assumptions based on the available
2 data; and there is clearly this other area of uncertainty
3 that none of us really want to be stuck with, and that is
4 the selectivity and the potentially nonrepresentativeness
5 of the particular data which we are building our
6 uncertainty models. But, clearly, it has to be done in
7 stages. And I think that clarification is a good one.

8 Any others? Yes, Dr. Macdonald.

9 DR. MACDONALD: A general comment I think to all
10 of the activities described in this issue that when you're
11 trying to study a system that has a large number of inputs
12 and particularly when you're trying to see which inputs
13 are most critical and which ones are less critical, I
14 think we need attention to principles of experimental
15 design. Certainly in a case like this where you have a
16 large number of variables and can assume that there's a
17 limited number of interactions to Gucci type of fractional
18 factorials probably appropriate and one would hope would
19 be more efficient in leading to conclusions.

20 DR. HEERINGA: Thank you very much, Peter. Any

46

1 other comments from that Panel on this particular
2 question?

3 Seeing none, I recommend that we move on to
4 Issue No. 5.

5 DR. OZKAYNAK: Issue 5: Special Model
6 Simulations.

7 A number of special simulations with the
8 SHEDS-Wood model were conducted in order to examine the
9 importance of specific exposure scenarios or the impact of
10 certain input assumptions. For example, some to these
11 analyses included conducting separate simulations for
12 children exposed to public playsets only, modeling
13 exposures of the 7-13 year old age group, and studying
14 exposures of children exhibiting pica behavior.

15 Additional analyses were also conducted to
16 examine the impacts of using data or assumptions about
17 increased GI absorption, decreased dermal absorption,
18 lowering the transferable wood residue concentrations by
19 sealants, and hand washing after play events. the results
20 from these special analyses were not significantly

47

1 different than the baseline model results, except for the
2 large impact of assuming the use of sealants would greatly
3 reduce wood residues.

4 Question A: The Panel is requested to comment
5 on the appropriateness of the justifications made in
6 characterizing the key factors or inputs for each of these
7 special simulations. Did the Agency provide adequate
8 technical rationale and justification for its choices for
9 these alternative exposure scenarios or input
10 distributions? Do the results from these special analyses
11 reflect proper use of available information?

12 DR. HEERINGA: Dr. Kissel is the lead discussant
13 on this question.

14 DR. KISSEL: To some extent some of these things
15 have already come up in prior discussion. And I think the
16 wording of the question is perhaps too formal for what
17 we're actually dealing with here.

18 Generally, the feedback I got was that we were
19 satisfied that EPA had run an assortment of test cases,
20 sort of what-if scenarios, that examined the overall case

48

1 and that the list was more or less appropriate. We do
2 have a couple of suggestions for additional scenarios but
3 general there was satisfaction that things were done
4 appropriately.

5 When you're given these kind of questions which
6 are, well, we don't really know too much about that so
7 what if sort of thing, there is no formal measure of
8 whether that's appropriate or inappropriate. It's a
9 professional judgment sort of issue, and I don't think we
10 have too much argument about that way things were done.

11 Do you think A is where we should discuss other
12 possible scenarios, or is that B?

13 DR. HEERINGA: Maybe just leave it for B.

14 DR. KISSEL: Okay.

15 DR. KISSEL: Any the associated discussants want
16 to add anything to that?

17 DR. HEERINGA: That question relates to the
18 special simulations including that pica behavior. Dr.
19 Freeman.

20 DR. FREEMAN: This is relevant to pica. This

49

1 has to do with the sealant reductions. I think what the
2 model does -- and you can correct me if I'm wrong -- is it
3 assumes that the sealant is replaced consistently enough
4 so the reduction is continuous for the exposure lifetime
5 of the child. And from some of the presentation we had
6 yesterday, this becomes questionable. I'm not sure how
7 much effect it would have on your model if you tried to
8 put in that sort of variability.

9 DR. XUE: You're correct. We assume that the
10 change is 90 percent average for lifetime.

11 DR. HEERINGA: Thank you very much. The two
12 sealant effectiveness simulations are quite extreme in
13 that they virtually limit the residue exposures to zero in
14 one case and 5 percent of the prevalent values in the
15 other case. So in some ways they look like, I think, sort
16 of extreme cases with regard to residue reductions.

17 DR. OZKAYNAK: That was that intention to sort
18 of test out what would be the implications of using a very
19 effective sealant in terms of not only the exposure dose
20 predictions but also that associated risks. Since we did

50

1 not have sealant-specific, actual sealant information in
2 terms of effectiveness across multiple seasons and years,
3 we just selected those hypothetical scenarios just to do a
4 bounding analysis.

5 DR. HEERINGA: And given the importance of
6 residue ingestion in the total exposure pathway, it very
7 much looks like a scenario not only for sealants but for
8 an assumption of very, very different sort of
9 concentrations or uptakes in residues as well.

10 Any other comments from the Panel members?

11 DR. HATTIS: I just would reinforce the idea. I
12 think it was a useful set of supplementary analyses and
13 reasonably well done.

14 DR. STILWELL: Are we going to introduce the
15 mouthing one on this one?

16 DR. HEERINGA: Sounds like there's interest in
17 moving on to Part B.

18 DR. OZKAYNAK: Yes, we can't wait.

19 Question B: Do any of the findings from these
20 special analyses necessitate the Agency to consider

51

1 revising certain scenarios or inputs to that baseline
2 assessment?

3 DR. KISSEL: This is where I think we want to
4 address either new scenarios or modifications of the
5 special scenarios that are here. And two that we have,
6 one would include modification of soil pica to include
7 more generalized pica which I'll let Natalie give the
8 justification for that.

9 And that one was some modification of the
10 sealant scenarios to deal with decline in that sealant
11 capacity over time. And I'll let Dr. Stilwell address
12 that one.

13 Natalie, do you want to say something about
14 pica?

15 DR. HEERINGA: Dr. Freeman.

16 DR. FREEMAN: I think some of it I had actually
17 had said yesterday. There is soil ingestion that other
18 children do, but it is not like pica. And that the pica
19 children would not be that ones who are consuming from
20 this sort of environment.

52

1 Related to this is, in one of your special
2 models where you use the sealant, you ended up reducing
3 the surface residues but not necessarily the soil
4 concentrations. So that you end up driving perhaps
5 artificially that effect of soil consumption under those
6 situations because, presumably if a lot of the soil
7 contaminants are due to runoff, you would have also
8 reduced those levels as well and so that the proportions
9 that is attributable to contact with that deck may not be
10 as reduced as much relative to soil as you have in your
11 special models.

12 DR. HEERINGA: With regard to the alternates on
13 sealants, is there any clarification? That really is
14 attacking the residue transfer, residue exposure part of
15 the model. It doesn't attack or address the contact time
16 which is .another is there any consideration at all of
17 scenarios which would alter that distribution of potential
18 exposure times on sets as another sort of product
19 parameter in the total exposure route?

20 I'm asking a generic question here. Consider

53

1 it. I guess I see no response.

2 DR. HATTIS: I guess one could consider padding
3 or some other way of protecting the surfaces that children
4 are most likely to contact directly.

5 DR. HEERINGA: One thought that I had, we have
6 these two very large input parameters, very important
7 input parameters to the exposure stream. One of them is
8 the modeling of how much time children actually spend in
9 contact with CCA-treated wood. And that one, I don't
10 think our available data is going to do a more to inform
11 us on. And one of the things that I had thought about on
12 Wednesday when we asked about looking at this annual
13 distribution of exposure time.

14 What you might do if you are doing these
15 scenarios, say for residue or sealants, is to actually
16 look at that distribution of exposures for children in
17 terms of total exposure time on decks, and then fix
18 certain points in that distribution. Somebody who is sort
19 of in the 20th percentile of the total annual exposure to
20 CC A, and say for a child that spends X amount of time a

54

1 year on these decks, what is the difference. I guess it's
2 sort of a partial simulation where you're conditioning on
3 an exposure time. And I think that might actually be
4 informative of this issue that we're struggling with. And
5 I think some of the public commentators were struggling with
6 it.

7 We are compounding uncertainty on several
8 different parameters. And to be able to see the
9 uncertainty associated with one important parameter is the
10 other, we might want to actually look at the distribution
11 of one, fix certain profiles in that distribution, and
12 then look at that effect of the sealant or residue
13 reductions appropriately. Just a way of sort of, I think,
14 in a very, very complex uncertainty environment of sort of
15 parcelling out the uncertainty sort of one stage at a
16 time. I think maybe that is the best we can do at this
17 point. Dr. Freeman.

18 DR. FREEMAN: That got me thinking about
19 something that's been going around in my head for a while.
20 Most of the observational studies suggest that about

55

1 somewhere between a third or even less of mouthing occurs
2 outdoors for little kids. In some cases, it's close to
3 zero. So you reduce it from 10 times an hour to one to
4 two to three times an hour at most in terms of rates. The
5 contacts haven't been well quantified simply because we
6 have such poor data. Only four kids that I know of where
7 we can actually quantify touches to Playscapes.

8 The loadings that you get on that hands, if you
9 look at Dr. Kissel's work with soil or with David Cayman's
10 work or Charles Rhodes's work with dust, the suggestion is
11 that, and you have it in your model, that there is a
12 maximum loading that is allowable. And then after that
13 maximum loading occurs, there is, as the child goes
14 through his daily activities, there's a constant
15 dislodging and reloading if it's a particle
16 characteristic.

17 If it's a fluid-type thing, I don't know how it
18 works. But with particles this constant shifting. If
19 that mouthing doesn't -- and serious mouthing we very
20 rarely see it outdoors in any of these environment unless

56

1 the kid is very upset. So the liquefied mouthings seems
2 to occur indoors.

3 So you're having this situation. You've been
4 playing on that Playscape, 20 minutes or 40 minutes later,
5 you have touched your bicycle, you've touched the door to
6 your house, you've touched a gazillions things, and then
7 you settle to eat your Doritos and watch television, and
8 that's when you're going to be doing your ingestion.

9 But what are you ingesting at that point? Are
10 you ingesting the residues that you picked up at the
11 Playscape or have so many different contacts and removals
12 occurred between the time of the contact with the wood and
13 the time that you're actually do being serious mouthing
14 that what you're ingesting is something else. And I'm not
15 sure how you can handle that at this point basically
16 because of data lack.

17 DR. XUE: Basically, we discussed this. But we
18 don't know how to do it because there are no data how to
19 do this way -- otherwise we would underestimate. If we
20 give over estimate because not data people, we did not see

57

1 any model do it this way. And the other which is that
2 data is any data available to see what was unloading
3 process or something. There's no data at all. That is
4 why we did this approach.

5 DR. HEERINGA: Dr. Ozkaynak.

6 DR. OZKAYNAK: Just to add one more talk to
7 that. Is that even if available studies had looked at
8 loading and unloading in prescribed settings but they've
9 been really well regimented experiments, so it would be
10 necessarily translate into complicated children's play
11 activities. So it's very hard, even if we have one or two
12 piece of information from limited experimental studies, to
13 make that quantum leap and assume that that is going to be
14 the case for children's typical daily activities of
15 contact and mouthing and eating food and other complicated
16 behaviors.

17 DR. ZARTARIAN: One additional thought. Maybe
18 we can incorporate some of those ideas when we try to
19 expand the uncertainty and the maximum determine loading.

20 DR. HEERINGA: I think that would be good. Just

58

1 a comment too as I tell students in social sciences,
2 humans by nature are the most variable subjects we could
3 ever choose to study. And thanks heavens for that. But
4 children, even more than adults, are even more variable
5 than humans as a general population. In terms of
6 quantification, it's probably the toughest of all
7 statistical and data measurement problems.

8 DR. HATTIS: That part of that question. It
9 seems that newer data on dermal absorption probably should
10 cause some rethinking, you know, or at least cause you to
11 consider revising the central estimates of dermal
12 absorption and perhaps expanding the uncertainty of the
13 parameter.

14 DR. HEERINGA: I think at this time, we're ready
15 to move on to issue. --

16 DR. STILWELL: Hold on.

17 DR. HEERINGA: Pardon me.

18 DR. STILWELL: One thing I'd like the EPA on
19 Issue 5, I believe there might be some data for
20 month-to-wood activity that I believe was referenced by

59

1 the Environmental Working Group and that would be an
2 additional scenario or pathway to consider. And for that
3 wood, I guess we going to just agree on some sort of
4 compromise where you have some scenario where wood works
5 effective for one year and then starts to decay for maybe
6 a couple of more years and then they repaint and it goes
7 back to some 90-percent reduction.

8 So you have kind of like a little step function
9 and that sort of thing, that probably would be more a
10 reasonable interpretation than having the wood work
11 perfectly forever.

12 DR. HEERINGA: Thank you very much, Dr.
13 Stilwell. Yes, Dr. MacIntosh.

14 DR. MACINTOSH: One additional comment on this,
15 trying to take a step back from looking at these special
16 analyses as one by one basically. And it seems to me that
17 the special analyses came out of the previous SAP
18 recommendations. As such, they're considered different or
19 additional to what as done previously. Also when I look
20 at it, I don't see much difference between these special

1 analyses and the sensitivity analyses except that only
2 certain parameters were modified or those values were
3 modifies and they were modified in a unique way as opposed
4 to treating all the variables in a standard way in the
5 analysis. So I wonder if this isn't just another type of
6 sensitivity analysis, and as such for readable maybe it
7 should be incorporated into the other sensitivity analysis
8 approach.

9 But more importantly in my mind, there is a
10 serious limitation of this one-at-a-time variable approach
11 which you lose all the information that might be contained
12 about sensitivity of the model results to joint changes in
13 variables. All right. And it bothers me to see over and
14 over in the report, well, we made this change in the model
15 because of a request for a special analysis and we didn't
16 see much affect on the output. And when I see that 10
17 times, I go, well, what other together. Right? If it's a
18 factor of 2 or factor of one and a half change 10 times
19 over in both directions, then the effect overall may be
20 large.

61

1 And it becomes -- you risk some type of pie in
2 the sky totally naive analysis if you start joining all
3 these together. But nevertheless, I think it become more
4 a realistic representation of what we don't know. And
5 there's some value in that.

6 DR. OZKAYNAK: I appreciate the comment. And I
7 think that we have done in a few instances a sensitivity
8 analysis or special analysis where we looked at that
9 influence of multiple variable changes. The problem with
10 doing more than one variable change simulations is that
11 you have need joint probabilities as conditional
12 probabilities for all these different scenarios occurring
13 in various complicated mathematical form. And I think
14 it's very challenging to a prior guess how those
15 conditional events can occur when you're taking about
16 multiple changes.

17 DR. HEERINGA: That's correct.

18 DR. ZARTARIAN: I'm just going back to Dr.
19 Stilwell's suggestion. I thought that was a good one to
20 consider a step function for residue reduction. But the

62

1 effectiveness of sealants depends on the sealant itself as
2 well as people's behavior with respect to how often they
3 apply them. And I'm wondering if Dr. Stilwell or any
4 other members of the panel know of any data sets regarding
5 how often people do seal their decks to give us an idea of
6 what step function to use for that.

7 DR. XUE: This is Dr. Xue from EPA.

8 DR. HEERINGA: Just a second. Dr. Lebow and Dr.
9 Stilwell, I think.

10 DR. LEBOW: I'm not sure of any precise data on
11 how often your average person does it. There are
12 recommendations on the labels of the products that usually
13 vary from one to two to three years. Whether people
14 really do it that often or not, I'm not sure anybody
15 knows.

16 I did want to mention, as you're aware, that you
17 do have your own ongoing studies in this area. And if
18 they're allowed to follow through their completion, you
19 will actually have some kind of data to plug into your
20 reduction factor.

63

1 DR. ZARTARIAN: I believe those are more for
2 that effectiveness of the sealants more than for people's
3 behavior with respect to --

4 DR. LEBOW: Yeah, right. Exactly, yeah.

5 DR. HEERINGA: Yes, Dr. Xue.

6 DR. XUE: In terms of the change in multiple
7 one, we do have one we provide this to that SAP panel. So
8 we changed four. We think it's an important one, a very
9 important one. And we think for future model, we will
10 change it. We change the four together and then we have
11 call examine all the results in the supplemental slide.
12 And we already gave to that SAP panel.

13 DR. HEERINGA: Right.

14 DR. OZKAYNAK: Can you identify that, the number
15 of that slide?

16 DR. XUE: This is from page 48 to 83. First one
17 we tell what the change, what the distribution change.
18 Then what the results change of the change on the table on
19 the figure.

20 DR. HEERINGA: Thank you very much. Dr.

64

1 Macdonald.

2 DR. MACDONALD: This is the sort of study where
3 I would recommend you do a factorial design just to make
4 sure you're systematically doing the different
5 combinations.

6 DR. HEERINGA: Thank you for mentioning that
7 again. It crossed my mind too. Okay.

8 At this point, I think any other comments or
9 clarifications? Let's move on to Issue No. 6, then. Dr.
10 Ozkaynak.

11 DR. OZKAYNAK: Issue 6: Evaluation of the
12 SHEDS-Wood model results.

13 The Agency has evaluated the probabilistic CCA
14 exposure model results by comparing them to results from
15 other earlier deterministic CCA assessments. In
16 particular, the SHEDS-Wood model results were found to
17 compare well to a deterministic CCA assessment performed
18 by the Gradient Corporation, and SHEDS-Wood upper
19 percentiles compared well to deterministic Consumer
20 Product Safety Commission estimates.

65

1 Question A: Has EPA provided adequate
2 documentation of the overall plausibility of the exposure
3 estimates generated by the SHEDS-Wood model for CCA? Are
4 the comparisons with the results of other selected
5 exposure assessments appropriate and appropriately
6 presented? Are there any other types of benchmarking
7 approaching or data to assess the reliability of the
8 overall exposure model or specific model elements?

9 DR. HEERINGA: And Dr. Reed is our lead
10 discussant on this question.

11 DR. REED: Well, I don't have a whole lot to say
12 about this because the database for comparison is very
13 limited as the Agency noted also. I think that document
14 adequately convey the limitation of the comparison. I
15 personally don't think that such comparison based on the
16 limitation that it's possible to determine on the
17 plausibility of the model output in this way; however,
18 this is not the only place that you look at the output
19 plausibility. So I don't see this -- as the limitation
20 within the database, I don't see this as an important

66

1 component for that evaluation.

2 I think model comparisons or comparisons of
3 model output, whether it's in part or as a whole, is a
4 viable means for evaluation. The only scenario that I can
5 think of that would give sufficient meaning in the
6 comparison is that it has to have sufficient common
7 denominator in the model output or the logistics of the
8 model.

9 And one I can think of is that you have to
10 really compare a sort of set of same exposure scenario
11 with the almost identical set of data input which is, you
12 know, nothing of that sort is available right now. And
13 I'm not even sure if at this point there is such an
14 availability of other models, quote, unquote, "other
15 models," for such a comparison.

16 I sort of grappled with this issue backwards and
17 forwards. And I was thinking that, what if you take a
18 hypothetical exposure scenario and do a hang calculation
19 and a point estimate, essentially taking your new input
20 data that you have now compared to what you have before

67

1 and what the other models have before and see how that
2 would compare between a point estimate and the
3 distributional approach.

4 I kind of gave up on that idea because it still
5 does not mean a whole lot. So I'm coming up with no good
6 suggestion except to say that the comparisons has to be
7 based on sufficient amount of common denominator. The
8 same scenario, same data input would be great.

9 DR. HEERINGA: Dr. Freeman first, then Dr. Ryan.

10 DR. FREEMAN: I actually enjoyed sort of going
11 through the tables that you had where you listed the
12 variable, the various other exposure models used, and
13 trying to find out whether there were similarities and
14 differences. And I was saying, okay, you had two
15 variables that drove your study to some extent. To what
16 extent are these same variables and the measures
17 consistent across all the other exposure models that you
18 were comparing with. And there were only two other models
19 that had -- I don't know where my data is -- measurements
20 for those first two variables. One had to do with the

68

1 residue levels on the surface. And I can't remember what
2 the second one was offhand.

3 I thought that was interesting. There were so
4 many different inputs used between the models you were
5 comparing and your own that I didn't know how to handle
6 it. I wasn't sure I was looking at apples and apple or
7 apples and oranges gains. Even things such as how do you
8 quantify exposure to the deck by the child. Is it in days
9 per year? Is it in hours per day? I mean different
10 models used different measures. So I really wasn't sure
11 how to do an adequate job of comparing these things or
12 whether it was even suitable to do it.

13 DR. RYAN: My essential comment on this is that
14 we're making a comparison here. The best you can do at
15 this point is make a comparison between what you've done
16 and what other people have done. But it's sort of like
17 comparing a bicycle to a Ferrari. It's just very
18 difficult to have these things put on any kind of the same
19 scale.

20 I was highly encouraged by the fact that the

69

1 results you guys got were in line with results that people
2 got doing entirely different things, trying to mimic the
3 same results. So I think you've done what you can do
4 simply because there isn't a lot of stuff to compare this
5 with. And I think the data are just sparse. And that's
6 the way it's going to be until someone else comes up with
7 a different type of stochastic model of this type,
8 developed independently, and so on. The only thing we can
9 do really is try to validate this model based on real data
10 rather than on comparisons with other models. And I think
11 that's what's intended to go forward from here.

12 DR. OZKAYNAK: Just a lite comment here. I
13 think we'll change our model to SHEDS-Ferrari now.

14 DR. FRANCIS: If you're talking about the model
15 and looking at comparison of a model to another model,
16 there are probabilistic exposure assessment models out
17 there that can be used. And if you at least supply the
18 same basic data set, you can see how the various models
19 perform. I think a similar kind of thing was done with
20 the organophosphates for EPA.

1 It seems like, yes, you can in fact, for looking
2 at how the model is performing, compare that to other
3 models which may have slightly different internal means of
4 coming up with the same results. The algorithms may be
5 different. But I think that that would be a very useful
6 thing to do.

7 DR. HEERINGA: Thank you very much. Yes, Dr.
8 Dang.

9 DR. DANG: Thank you for the comment on this
10 input values data for the comparison about the models.

11 We did prepare those Table 51 to 53, a very
12 comprehensive table for information. Actually our
13 purpose, one of the very important purpose is we try to
14 deliver a message about that risk communication in the
15 future, because one cannot compare to the other one in
16 different perception on that risk communication where
17 they're very different from different models. Thank you.

18 DR. HEERINGA: Dr. Zartarian.

19 DR. ZARTARIAN: With respect to that suggestion
20 for another model comparison for that CCA assessment. It

71

1 is true that there was a model comparison workshop and
2 other aggregate exposure assessments, probabilistic
3 assessment models available. However, what we found in
4 going from our SHEDS pesticides model, which is intended
5 for different uses of pesticides, we really had to develop
6 a separate model to specifically address children's
7 contact with treated wood structures with very different
8 algorithms, very different equations. And I suspect that
9 the other aggregate probabilistic models that are out
10 there, would have to do the same thing. So I don't
11 believe at this time that the state of the science is
12 available to do such a probabilistic model comparison for
13 this assessment,

14 DR. FRANCIS: Yeah. I agree with you that I
15 think most of them were sort of developed for dietary
16 kinds of exposures. But I know that some of them do have
17 components, for example, for looking at worker-type
18 exposures that I think could be modified relatively easily
19 to deal with children's exposures. The ones that deal
20 with reentry kinds of issues. In a way, this is a reentry

72

1 kind of issue. And I think this is something that should
2 be explored by talking to whoever, the other people that
3 you're looked at before for the models. I don't think
4 that it's that much difficult to adapt some of these other
5 models.

6 DR. PORTIER: I'm going to disagree. You know,
7 you have to stop and think, what would be gained by
8 putting all that effort into building a competing model
9 just to demonstrate that you get roughly that same
10 results. I'd much rather see the Agency spend time on new
11 data and continuing to validate the individual components
12 than building a whole separate model.

13 I know in academics we do this all the time. We
14 build competing models primarily to show that our model is
15 better. But in this case, I don't know if we really need
16 to show that it's better. They just need to prove that it
17 does the job that we need for it to do for them which is
18 to provide support in their decision-making process. I
19 see where you're coming from. But I guess I don't really
20 see that as a prime direction.

73

1 DR. HATTIS: Yeah. I guess I would say it's an
2 appreciable, it's a significant project. So I mean off
3 the top, if you want to apply the three other available
4 modeling frameworks to this, you probably are looking at a
5 million each, I think.

6 DR. HEERINGA: Just to be clear here, Dr.
7 Francis, Lifeline, Calendex, CARES, some of the models
8 that were considered in this model comparison, those are
9 the types of models that you're talk about. So for those
10 of you who aren't.

11 DR. OZKAYNAK: Yes. I fully concur with what
12 Dr. Portier said. Actually, just to give you a history of
13 this, about two years ago the OPP antimicrobial division
14 actually started -- I'm sorry. No, no, no, no. The
15 antimicrobial division when the CCA project was proposed
16 in terms of developing modeling looked at available models
17 -- lifeline, CARES, Calendex, and SHEDS -- and talked
18 with, I believe, with that various leaders of these
19 modeling groups and realized that it was going to be a
20 sizeable effort and made the decision to go forward with

74

1 that SHEDS-Wood model development.

2 And it's been a two full-time effort. Two years
3 of a lot of hard work, and you see a number of people
4 sitting here at the table here. And we've been fully
5 concentrating on this effort. It's not a small task. And
6 it's a major effort. So it's not realistic to expect
7 that.

8 DR. HEERINGA: Dr. MacIntosh and Dr. Ryan.

9 DR. MACINTOSH: To say something in support of
10 the comments of Dr. Portier. It was two years ago, I
11 think, that the ORD and others organized a model
12 comparison workshop. And they had a panel. And I was on
13 that panel. And we compared SHEDS pesticide to Lifeline,
14 I believe it was, and to CARES and also Calendex was
15 there. We went through. And it was for, I think, two or
16 three very specific exposure scenarios to reduce the scope
17 of the problem and make it more tractable.

18 And we went through some of the most important
19 pathways parameter by parameter and algorithm by
20 algorithm. And found -- first we found that the results

75

1 weren't that different among the different models within a
2 factor of three or four or something. And in this range,
3 those are essentially identical. And we found that the
4 differences could be explained quite easily by certain
5 algorithms or certain parameters.

6 And my guess is if that was done, a similar
7 model comparison was done for CCA-treated wood that we'd
8 reach quite similar results as Dr. Portier suggested.
9 And, again, given the lack of important data that would be
10 inputs, that are inputs, to SHEDS-Wood and likely inputs
11 to these other models, that might be a more effective use
12 of resources, improving the data might be.

13 DR. RYAN: I would just like to reiterate that I
14 feel like a me-too guy at this point. But I think I said
15 it first.

16 Essentially, I think if the estimate of a
17 million dollars or something like per is correct, my
18 impression is the money would be better spent getting some
19 more data, data gaps that we see, or trying to understand
20 the variability or uncertainty and some of the parameters.

76

1 It's not for which we are simply using point estimates.
2 And I think that's the way the money should be spent.
3 Whether this is the perfect model, the best model that
4 could be put out is a secondary question. What we need
5 more data to validate the model

6 DR. HEERINGA: Dr. Reed and Dr. Francis.

7 DR. REED: Yeah. I totally agree with not sort
8 of rewardable to go back and use CARES and Lifeline and
9 Calendex to make comparison.

10 But I think maybe there was a little bit sort of
11 confusion because it wasn't clear, or maybe not as clear,
12 in the document in terms of what the comparison is for.
13 After I read it about five or six times, I thought there
14 is merit in comparison, not having going to go back to
15 extraneous effort to do that. But you just want to know
16 if your output is in line with everybody else's estimate
17 even though they were using different algorithms.

18 So the model construct itself does not have to
19 be the same. You were just looking to see, well, this is
20 my result and this is their result using different

1 assumptions and what not. And I think there is merit or
2 the value in doing that. Maybe if you could express it
3 more clearly what you're trying to make the comparison
4 for. Certainly there are different kinds of comparison
5 that we've been talking about using different
6 probabilistic models to make comparisons. The purpose is
7 different I think. So if that could be made clearer, I
8 think.

9 DR. FRANCIS: Okay. I'll perhaps partially my
10 comments. I can't imagine that it would cost a million
11 dollars per. I think that's totally unreasonable. On
12 that other hand, perhaps I am naive to think that the
13 people who put out these models might actually want to be
14 able to show how their models compare and perhaps with not
15 a whole lot of effort. And like I said, I could be naive.
16 Might want to help in the model comparison.

17 I also agree that I would much rather see in
18 terms of validation a well done biomonitoring study to
19 compare real data to these scenarios. So if you were
20 taking that same pot of money to say whether or not things

78

1 were working, I would much rather see real physical data
2 as opposed to modeling kind of data.

3 DR. HEERINGA: Dr. Ozkaynak.

4 DR. OZKAYNAK: Just I guess to end with
5 philosophical comment. I agree with Dr. Reed. I think
6 that we need to do a better job in terms of articulating
7 the purpose of the model comparisons and evaluations and
8 what we really intend to do and what we sort of learned
9 from that comparison. The thing is that a lot of the
10 models especially on that aggregate pesticide exposure
11 arena, use very similar algorithms because they've been
12 prescribed so strictly by the Health Effects Division's
13 standard operating procedures. So if all the models are
14 using the same equations and pretty much relying on the
15 same inputs, you expect that unless they make a
16 mathematical error or a computation error, you get the
17 same results.

18 Now if we compare in that context, like Dr.
19 MacIntosh mentioned, all the models are trying to do the
20 same things. If you get the same results, have you

79

1 learned anything. And my answer to that is no because
2 we're all trying to do the same thing. And I remember a
3 number of years ago, I think it was one of the SAPs, Dr.
4 MacGowen was talking about a model comparison exercise in
5 the physics arena in Europe. And there were like six
6 models being compared, and all five of them seemed to
7 agree and one was widely differing than that other ones.
8 And they said in the end when they tried to figure out
9 what was going on, they found that the model indeed that
10 was totally different from the other ones was actually the
11 correct one.

12 So one has to be very careful, and we're going
13 to try to sort of think through more carefully on these
14 issues in terms of what are the purposes of the model
15 comparisons and one doesn't fall into the pitfall of a
16 false sense of security if the number seem to be from five
17 different calculations seem to be in the same ballpark. We
18 could all be wrong.

19 DR. HEERINGA: Thank you very much, Dr.
20 Ozkaynak. And I think consistent with the comments, not

80

1 only from our group but the others, I think if we do to a
2 model that's very different in form, it can be extremely
3 informative even it produces the same or a different
4 result.

5 I think the key there is we have to make sure
6 that we're standardizing of definitions of populations and
7 inputs, because otherwise, we're into not just
8 mathematical errors but errors of the concept itself. But
9 I think conditional on that, I very much support what you
10 just said in terms of the value of almost completely
11 orthogonal approach in terms of sort of validating or at
12 least raising possible disagreements.

13 Any other questions or comments on that?

14 Okay. What I'd like to do is to move on to
15 Issue No. 7 prior to our break. I believe that this is
16 the last of the issues that deal primarily with the
17 exposure modeling component of the session.

18 Dr. Ozkaynak.

19 DR. OZKAYNAK: Issue 7: Overall completeness
20 and acceptability of the SHEDS-Wood probabilistic CCA

81

1 exposure assessment.

2 EPA has revised the August 2002 SHEDS-Wood
3 exposure assessment after carefully considering numerous
4 comments and suggestions that it has received from various
5 parties, including those from the August 2002 FIFRA SAP
6 members, EPA/ORD and EPA Program Office peer-reviewers of
7 the preliminary draft September 2003 report, and from the
8 general public and other external groups.

9 Question A: In addition to the comments and
10 suggestions already offered by the Panel members under the
11 specific issues raised previously, considering the
12 availability of data and information, does the Panel
13 recognize any critical gaps in information or
14 methodologies that still need to be addressed for the CCA
15 exposure and dose assessment?

16 DR. HEERINGA: Dr. Hattis is the lead discussant
17 for this question.

18 DR. HATTIS: I think overall, my assessment that
19 EPA has done a conscientious job in trying to work with
20 the information they have readily -- well, not so readily

82

1 available. I was impressed by one aspect of the public
2 presentations yesterday. And that is the presence of the
3 CCA residues apparently from recycled treated wood
4 products and mulch used in playgrounds. It seems to be a
5 worthy subject for separate specialized analysis.

6 And data gathering, I should say. Data
7 gathering and analysis -- maybe we should put that cart
8 before that horse whatever -- and possible advice to that
9 public once you've done that kind of thing on desirable
10 sources of materials for use in cushioning falls in public
11 and home playgrounds.

12 Other than that, I don't have any particular
13 suggestions to make.

14 DR. HEERINGA: Dr. Francis, would you like to
15 make a comment?

16 DR. FRANCIS: Actually, I think most of the
17 comments that have been made previously for previous
18 questions have covered most of the comments that I would
19 have had.

20 DR. LEBOW: Yeah. I wasn't sure how to

83

1 interpret this question in light of all that other issues
2 and questions that kind of overlapped with this one. So
3 some of my comments do overlap, but I think in some cases
4 it's worth repeating them.

5 I'm not an expert on modeling general at all so
6 my comments are more general and perhaps are observations
7 that could be perceived by the more general reader of the
8 document.

9 It struck me that there's an awful lot of
10 contact days for these kids, and that this population
11 certainly does not represent all kids that do contact
12 treated playsets or equipment. And in the exposure
13 documents, it does point that out that this is for kids
14 who frequently contact treated playsets. And I think it
15 almost has to be, if you're looking at a mean of 126
16 contact days, then you're almost talking a day care
17 scenario where the kids are routinely taken to a facility
18 where they go out and play. And that's I think maybe is
19 largely your intent.

20 I guess what worries me a little is then I see a

84

1 little bit of drift off of this definition of population
2 as time goes on. If you look to the risk assessment
3 document, population is redefined as all kids who are
4 exposed to treated playsets or play equipment or desks.
5 So the population drifts between the two documents from
6 frequent or, in my interpretation, very frequent contact,
7 day care setting, to all kids. I think it's on page 3-2
8 of the risk assessment documents, explicitly redefines the
9 population and leaves out any mention of frequent contact.

10 So I think while it's fine to model the very
11 high end or the day care subset in explaining the document
12 and how it's meant to be used, you need to be careful that
13 your population stays the same in your interpretation of
14 the results.

15 The other thing that I guess kind of goes along
16 this same line, and I don't know if this struck anyone
17 else. It certainly did me. This percent of outdoor time
18 on that playset, I understand that was derived somehow
19 from the CHAD diary, although the Chad diaries didn't
20 actually have that specific information. You fit a band

85

1 distribution with a mean of between .75 and .8 depending
2 on your warm cold and a median of 90. So you say 90
3 percent of your outdoor time is on the playset. And this
4 was used for both the residential and nonresidential.

5 Well, think about that for a second. Why would
6 a kid spend 90 percent of their time within two feet of
7 this playset. And, again, the only scenario I could think
8 where your median value would be that high would be maybe
9 a day care situation where they go, out two hours of play
10 time, it's a small fenced area, all they have is a
11 playset. Then you median might be 90 percent of the time.

12 But otherwise, like a public park, how could you even
13 achieve a 90 percent median. You would literally have to
14 tie these kids to the playset. So I think that that's
15 something that needs to be looked at unless I'm
16 misinterpreting this value.

17 DR. ZARTARIAN: Yes. This was one of the
18 variables that we didn't have information on and we made
19 use of the CHAD diaries to try to come up with something.

20 That was what we had some available information on

86

1 children who do go to playgrounds and their total outdoor
2 time. And we realized that the number was on the high
3 side from how we derived it out of CHAD. And we were
4 debating about whether to change it or use the information
5 from CHAD.

6 And our justification for keeping it at that
7 value was that, again, the population was defined as
8 frequent users of playgrounds. So we felt that since it
9 was a population that is actively going to playgrounds,
10 that it was reasonable to assume that when they were at
11 the playgrounds, they were very active on the structures.

12 And again it wasn't all outdoor time. It was the
13 nonresidential outdoor time.

14 DR. LEBOW: I beg to differ. Wasn't it
15 residential also?

16 DR. ZARTARIAN: Yes. We used the same variable
17 for both.

18 DR. HEERINGA: Thank you Dr. Lebow. I'm going
19 to direct to Dr. Macdonald.

20 DR. MACDONALD: I agree with that decision to

87

1 just concentrate on the frequent contact children. It's
2 the principle of stratification. There's really no point
3 in simulating the entire population. For example, it just
4 means you're computers are going on hour after hour and
5 not finding any contact events. It's much more effective
6 to be saying, well, we're doing a certain fraction of the
7 population or a certain fraction of the time and just
8 simulate what's going on during contact and then scale
9 those results to apply to the general population later.

10 The other comment I have is to do with after the
11 presentation yesterday on the ineffectiveness of the
12 sealants. I think that the runs you've done with 90
13 percent and was it also 99.5 percent sealant effectiveness
14 would be quite misleading to the general public. So
15 perhaps those assumptions should be made a bit more
16 realistic before any results are released.

17 DR. HEERINGA: Thank you, Dr. Macdonald. I
18 might just add a comment to follow on these last two
19 comments. I think that we've sort of worked around this
20 definition of what the reference population is here.

88

1 Intensive users or frequent users, those are terms that
2 really don't have much quantification. And you might
3 translate it into as Dr. Macdonald suggested, sort of a
4 stratified profile analysis of infrequent or occasional
5 uses and quantify that in terms of numbers of hours per
6 week or hours per year because most parents, most play
7 school administrators, most risk assessors can understand
8 that.

9 I do a little bit of fishing. And fish
10 advisories, you don't eat fish more often than once per
11 week. It's something that I can bring home and relate to
12 my own daily time. So in terms of just saying that this
13 is a population of intensive users and then letting some
14 unknown distribution determine exposures, shows those
15 exposures in terms of either average weekly exposures or
16 total annual exposures in terms of time and then look at
17 the profiles of the exposure distributions for those
18 strata. And then as Peter says, if you need national
19 estimates for risk assessment, you can then try to profile
20 that time of contact distribution based on other sources

89

1 and integrate out that way.

2 Yes. Please identify yourself.

3 DR. SMITH: Luther Smith. Just as follow-up to
4 Dr. Lebow's comments. I just wanted to point out that not
5 every day is a contact day. And not every possible
6 contact event is a contact event for the children.

7 DR. OZKAYNAK: There's been a range, again, as
8 we mentioned I think yesterday, across the children that
9 have been simulated here. The potential contact days
10 range anywhere as low as 5 or 10 and 15 to all that way up
11 to 260. So the mean was 126, but not every child is --

12 DR. LEBOW: Yeah, I understand that the contact
13 days it looks like you've used a fairly normal
14 distribution with a mean of 126. But if you look at your
15 distribution for your percent of outdoor time, it's very
16 heavily weighted towards the upper end. Which I guess if
17 someone like me can look at it and say that doesn't appear
18 real reasonable, then I think a lot of other people will
19 probably be able to reach that same deduction.

20 And then there was one more point I wanted to

1 raise. I've started thinking this through. You got these
2 kids on these playsets this many days and this percent of
3 their outdoor time, that means you got an awful lot of
4 kids on these playsets an awful lot, like at a day chair
5 facility. And one of the things I didn't see considered
6 in this modeling effort, and I think Dr. Solo-Gabriele
7 mentioned it yesterday, what happens if you rub the same
8 surface more than once?

9 You have a very limited surface area actually in
10 a playset that kids touch. They don't uniformly rub the
11 whole structure. They touch the hand rails, the climbing
12 areas, the corners where they turn. And if you go to an
13 older playset, you can actually see these surfaces are
14 worn smooth from kid contact.

15 It would seem to me if these kids are on there
16 this much and many kids are confined to this area, they're
17 not all touching a fresh surface. In fact, they're
18 probably very infrequently touching a fresh surface.

19 And I don't know how you figure something like
20 that in. But it would be interesting to do a series of

91

1 rubs on the same surface, cleanse the hand, rub it again,
2 do that repeatedly, and see what kind of concentration you
3 get. Because I just don't -- now maybe on a home deck or
4 a home playset it would be a relatively fresh surface.
5 But on a public playset, if you got that much contact time
6 and that many hours and that many kids, it could be a
7 factor.

8 DR. HEERINGA: Dr. Riviere.

9 DR. RIVIERE: I'd like to really follow-up on
10 Dr. Lebow's comments. I had no previous exposure to what
11 -- I hadn't read this before. Started off with the risk
12 assessment, the second document, and went to the exposure
13 document. And I did not get at all a clear view that this
14 was restricted to kids who would be 90 percent of the time
15 on a playground contacting this. So I think there's a
16 reality check on this. As you go through this. We've all
17 had situations and it has to go back to what our basic
18 experience is.

19 The playsets that we've seen in those pictures,
20 even at day care centers, can't hold all those kids at

1 once. Secondly, you're not going to have kids up for
2 three hours constantly being on those playsets. There's
3 off time; there's lunch times; there's everything else. I
4 think that's something that just sticks out in terms of
5 overall practical experience, that only after I read the
6 exposure assessment and sat through two days here, did I
7 realize that this entire risk assessment is focused on
8 kids who have intimate contact all that time.

9 And I've at least had that opportunity to read
10 the entire thing. When this goes forward from a public
11 perception, people aren't going to be able to read all of
12 the little caveats in here and how this came up. That's
13 really a sincere problem that efforts should be focused on
14 improving that data.

15 And based on an earlier comment, I'd also like
16 to really make a point, I'm going to make in Item 10 or
17 11, that there really needs to be some effort on getting
18 some of this data. Again, year by year, that data is not
19 available so we guesstimate. The data's not available, we
20 guesstimate. There should be some kind of direction that

93

1 we really need to get this data, there's needs to be a lot
2 closer interaction, say, with EPA and even industry groups
3 in this line.

4 As we'll bring up a little later on with the
5 dermal thing, you know, a NF3 is totally unacceptable. It
6 should never even have been presented to come up with
7 something on an estimate. So we really need to get some
8 idea if we're going to have some data in there, that we
9 need to put our effort on finding out how long in a day
10 care center there's actual contact to these surfaces.

11 DR. HEERINGA: Yes, Dr. Dang.

12 DR. DANG: I'd like to back one comment from Dr.
13 Peter Macdonald about the 90 percent or 99.5 percent of
14 children may mislead the public. We do not have intention
15 to mislead the public. Actually, this 90 percent we call
16 moderate assumption is based on the 2001 SAP comments, and
17 also it's based from the available literature. It's
18 average existing deck where we can get on the best
19 available data we have.

20 And you probably know we do have ongoing sealant

94

1 studies. And we have to provide this information on the
2 target how much sensitivity we need from those studies.
3 So we hopefully -- 99.5 percent effective the sealant we
4 can kind of find out from the available market. Thank
5 you.

6 DR. HEERINGA: Thank you, Dr. Dang. Dr. Adgate.

7 DR. ADGATE: It occurs to me that what most of
8 this discussion is about is sort of clarity and
9 credibility. I guess the problem is that you have this
10 special population but you'll be able to enumerate how big
11 it is probably. But maybe the thing to do is to
12 acknowledge that and say. But I think that's the way you
13 need to think about the problem is how we've created this
14 population, well, how big is it likely to be?

15 DR. HEERINGA: Mr. Jordan, do you have anything?

16 Dr. Zartarian. And then we'll come back to the Panel
17 members.

18 DR. ZARTARIAN: I just wanted to go back quickly
19 to the variable that was being discussed, the average
20 fraction of residential outdoor time that a child plays on

95

1 or around a CCA-treated residential playset on days when
2 the child plays on or around the CCA-treated residential
3 playset and the similar variable for the public playsets.

4 The way that we derived this in the absence of
5 other data, we stratified the CHAD diaries by month and
6 analyzed for children ages 1 to 6 years to get the ratio
7 of the reported playground time divided by the total
8 outdoor nonresidential time on days with reported
9 playground events. And that was used as the surrogate
10 variable which yielded the beta distribution with a mean
11 of 75 percent.

12 So I guess I would put it back to the Panel. If
13 this number seems high, we can do one of several things.
14 Account for that in the uncertainty, additional
15 uncertainty analyses that we do. Or if there's an
16 alternative approach that the Panel would suggest in the
17 absence of other data for that input.

18 DR. HEERINGA: Thank you. I think you've stated
19 your source of data, and we'll review that and comment
20 appropriately for that.

96

1 DR. GLEN: It might help for clarification to
2 say that the median time and contact with a playset over a
3 course of year is about 14-minutes per day and another 14
4 on that soil near the playset.

5 DR. HEERINGA: And that is for the simulated
6 populations --

7 DR. GLEN: Yes.

8 DR. HEERINGA: -- represented in these studies.

9 DR. XUE: I want to make a comment about the kid
10 touching one place. There would be, because only so much
11 playground you can touch. So when we, the data we
12 gathered this, we consider this. We wipe hand. When we
13 gather this concentration, we wipe that hand 20 times.
14 Then -- this is from RTI data. So 20 times we get that
15 loading for hand. This is the data we use. So we already
16 consider about the people that touch that area.

17 DR. LEBOW: What I meant was wipe that hand
18 once; wash it off; wipe the same spot again; measure that
19 analyte. Keep repeating that process, washing the hand
20 off and then rubbing the same surface over and over again.

97

1 What the RTI study did was they just wiped the fresh
2 surface 20 times and how much built up on their hand.

3 DR. XUE: That's not true because they have
4 limited area, they didn't wash. They have -- the limited
5 area --

6 DR. LEBOW: They wiped the same surface 20 time.

7 DR. XUE: Yes, 20 times.

8 DR. LEBOW: But did they wash their hands
9 between.

10 DR. XUE: That was 400 centimeters square.
11 Because if you think about hand, the area is 400 feet
12 centimeters square. They keeping touch this area not just
13 the new area all the time.

14 DR. LEBOW: My question then is: Did they
15 cleanse their hand between those wipes or was that the
16 cumulative amount that they got on their hands after 20
17 wipes? Were you able to track the amount that the
18 concentration of the hadn went down over time? My
19 interpretation was they wiped it 20 types times. Then
20 they washed it off. They didn't wipe it once; wash it

98

1 off, rub the same surface again. Unless I misunderstood
2 the paper which is --

3 DR. HEERINGA: I believe Dr. Dang will response.

4 DR. DANG: Yes, they did clean the hand before
5 the next wipe. Between each 20 wipes.

6 DR. LEBOW: I didn't get that from the paper.
7 I'm sorry.

8 DR. DANG: It's from the ACC study.

9 DR. LEBOW: Yeah. I know which one you're
10 referring to.

11 DR. DANG: Right.

12 DR. LEBOW: It didn't explain that in the
13 methodology. But if you're certain about that, then I
14 certainly stand corrected. I apologize.

15 DR. DANG: Thank you.

16 DR. HEERINGA: Dr. Lebow, just for
17 clarification, the issue you're getting at here is not he
18 maximum dermal loading but what happens when another child
19 comes along after a previous child has taken the hit.

20 DR. LEBOW: Touches the same surface area.

99

1 DR. HEERINGA: Touches the same surface.

2 DR. LEBOW: Dr. Solo-Gabriele mentioned
3 yesterday she shows for three replications only of the
4 same surface and there's a reduction of about 33 percent
5 from the first to the third wipe. So about 66 percent it
6 looked like. The third hand only got one-third the amount
7 as the first hand. Now, eventually, it would level off at
8 some concentration I'd imagine. I'm not sure if the -- I
9 wasn't clear how the RTI study handled that.

10 DR. HEERINGA: I'd like to go wrap up fairly
11 soon, Dr. Stilwell.

12 DR. STILWELL: I gave some similar data at the
13 EPA 2001 SAP meeting on multiple wipes on the same
14 surface. And with a new board, I got pretty much the same
15 results as Dr. Solo-Gabriele's work, it goes down a lot.
16 But then with older boards, you a rejuvenation and then it
17 just goes to some steady state. So it never made it into
18 the paper the I just published. But that's an avenue the
19 should be looked at on playgrounds to see like a buffing
20 and the sort of thing,

100

1 DR. HEERINGA: Dr. Stilwell, are you aware of
2 any data on replenishment rates, if a child wipes it at
3 Time T how much later would we see that same concentration
4 restored to the surface of the wood?

5 DR. STILWELL: No. I actually only revisited
6 the surface after maybe a month or two. So there is like
7 some steady state value the may occur. And then there has
8 to be some sort of time for it to actually go up and down
9 where the wood might have to undergo weathering
10 phenomenon. So it's a good point, but one the we don't
11 really know the answer to right now.

12 DR. HEERINGA: Thank you very much. Dr. Hattis.

13 DR. HATTIS: On that same issue, I was reassured
14 by the fact that they were taking boards from actual
15 playgrounds which do reflect something like a whatever
16 degree of contact, repeated contact, you know, exists for
17 those playgrounds grounds. Now then we have to get into
18 the actual details of did the ACC folks or RTI folks take
19 the samples from places that were likely to have been in
20 contact. And if they did, then I think it's reasonable to

101

1 use those data as reflecting reasonably real-world
2 conditions at least at the time that the boards were
3 sampled.

4 DR. HEERINGA: Okay. Dr. Matsumura.

5 DR. MATSUMURA: Regarding the information gap, I
6 would like to suggest the metabolism as Dr. Styblo has
7 been mentioning. I was impressed by the data that Dr.
8 Solo-Gabriele presented and the microbial degradation
9 could affect of the entire fate of the transfer. I'm
10 quite sure that the arsenite formed is likely due to
11 microbial action and of course the sealants could affect
12 those microbial actions as well.

13 I moved from Michigan to California. And in
14 Michigan, how bad those decks become in a few years. And
15 I tried my sealants, but it went down pretty fast. And
16 the surface becomes very slick. And I'm sure that those
17 places are lots of arsenite and more forms from the
18 separate from the regional, those complex that the Dr.
19 Laurie was mentioning. And I'm quite sure the original
20 months and that's mobile.

102

1 But, yes, humidity indeed affects those decks.
2 There's no question. And that the mobility from the
3 surface to the human skins may be altered by those kinds
4 of conditions. And if my deck in Michigan was a good
5 example, it becomes slimy after awhile and you can't avoid
6 those fungi affecting. And I need a little help from Dr.
7 Styblo.

8 But the humidity information of the metabolic
9 fate affecting those exposures has not been really
10 addressed in this document. A big difference from
11 California to Michigan, I can tell you that.

12 DR. HEERINGA: Dr. Portier.

13 DR. PORTIER: I promise this is a small one.
14 Since Dr. Hattis added an exposure environment in the
15 chips, I think we really should look at the unloading as
16 well. Right now in the model, you only have bathing, hand
17 washing, and mouthing as an unloading process. But we
18 heard that touching, wiping on your clothes, or other
19 processes. And I think the model right now probably if a
20 child visits a deck three or four days in a row, they

103

1 probably maximize. And if they don't take a shower --
2 even if they do take a shower, they probably hit the
3 maximum in the model; and allowing some temporal unloading
4 might actually keep these kids from hitting the maximum in
5 the model as often as you experience it.

6 DR. HEERINGA: Okay. Dr. MacIntosh.

7 DR. MACINTOSH: Thank you. A general comment to
8 follow up on Dr. Portier and also one from Dr. Hattis
9 earlier. And it also related to earlier, Issue 4, it
10 talked about what I see is the importance of describing
11 the purpose of the uncertainty analysis. And I think it's
12 also important for the variability in the overall
13 assessment.

14 In my experience, it's common to see in a risk
15 assessment some description of the objective is to be like
16 a screening level assessment or a conservative assessment
17 or a realistic assessment. And I'm not sure that there's
18 much description of that or characterization of the
19 assessment that was done and the context in the report.

20 And it's possible even that some of the analyses

104

1 or inputs to the model are intended to be realistic and
2 others are intended to be conservative. But, again, I
3 think that's important because it would help with the
4 interpretation of the results, much like the
5 stratification that was discussed down here earlier would
6 help with interpretation. I think characterization is --
7 we think this is a conservative or realistic, et cetera,
8 assessment would be helpful.

9 DR. HEERINGA: Thank you very much to everybody
10 who participated in this discussion. We got one more.
11 Dr. Kissel.

12 DR. KISSEL: The prior discussion something
13 popped into my brain. I haven't really figured out how
14 you've created those maximum dermal load numbers, the cap,
15 the accumulation. But if they're based upon a straight
16 forward use of numbers from wipe tests or rinse tests of
17 hands, then they may underestimate true loadings. It's a
18 classic can problem in industrial hygiene or in
19 environmental health is that rinsing and wiping of hands
20 does not remove the entire load.

105

1 We have two choices: You can use a dosimeter
2 which probably over estimates what's on the hand because
3 it sucks up stuff that the wouldn't; or you can do a rinse
4 or a wipe which will give you something less than the
5 total load because you won't actually extract everything
6 from the skin crevasses. So if you haven't done some type
7 of a correction for efficiency of removal by whatever that
8 technique was, then those numbers are an underestimate of
9 what the actual hand loading was.

10 DR. HEERINGA: Thank you very much Dr. Kissel.

11 At this point in time --

12 DR. HATTIS: I believe that's in the model.

13 DR. HEERINGA: Clarification as to whether there
14 is in the model, exposure model, some allowance for
15 essentially underestimation due to the quantification
16 technique that's been used for the data. Efficiency of
17 removal.

18 DR. HATTIS: I believe that there is a removal
19 process from the skin. There is not a removal process for
20 multiple touches as I understand it. Correct me if I'm

106

1 wrong. But there is removal, some finite degree of
2 removal for each washing event if I'm understanding the
3 model. So you don't get all of the stuff off when the
4 hands are washed in the model. Right?

5 DR. KISSEL: That wasn't the point. I was
6 talking about the -- they have a maximum level for what
7 could be on the skin at any time which is based upon some
8 empirical measurements made somewhere else. And if
9 recovery of removal from those empirical measurements was
10 not included, then those measurements are an underestimate
11 of what the true loading on the skin was in the CPSC and
12 ACC.

13 DR. HATTIS: It goes to the inputs to the
14 maximal --

15 DR. KISSEL: I'm not talking about what's going
16 on in the model. I'm talking about What's going on in the
17 data set that they're using as caps.

18 DR. HATTIS: I didn't understand. Thank you.

19 DR. HEERINGA: Sort of a calibration of the
20 original measurement issue I think. Dr. Zartarian.

107

1 DR. ZARTARIAN: Yes. The way that we are using
2 -- the maximum determine loading in the model comes from
3 the experiment data. We divided the hand-wipe data by the
4 wood-block data, and we do not correct for the type of
5 wipe, the extraction from the hand. So your point is well
6 taken that the way that we've done it may underestimate
7 the maximum dermal loading. And perhaps that helps
8 address the issue of the negative dermal transfer
9 coefficient on some occasions.

10 I guess I would, again, ask the Panel, if that's
11 not a sufficient correction factor for the removal
12 processes, to advise on how we may consider that negative
13 dermal transfer coefficient as I'm interpreting these
14 removal as processes. Or how to come up with a correction
15 factor for the maximum dermal loading directly as well as
16 a negative transfer coefficient.

17 DR. HEERINGA: Thank you very much.

18 At this point, I think we'll have a chance for
19 some general review. This has been a very broad question,
20 and I'm glad that it was included at the end of the

108

1 session on the exposure report because it's a dangerous
2 thing to ask academics if they have ideas about what other
3 people should do. But I think it's also been very
4 productive here. And many of our comments, I'm sure from
5 this session, will be probably if not reflected in the
6 response to this question, integrated with the other
7 responses and in the general introductory response. Dr.
8 Ozkaynak.

9 DR. OZKAYNAK: Yes. Since this is the end of
10 the exposure questions, I want to take this opportunity to
11 thank the Panel. Also on behalf of my colleagues from
12 both ORD and OPP for the time that you have taken to go
13 through the material and the discussions among yourselves
14 as well as in this forum With regards to some of the
15 challenging issues that we have tackled and wanted to seek
16 your advice.

17 And you have done exactly what we have been
18 looking forward to during the course of these two days.
19 Again, we're grateful for your insight and comments. And
20 we'll seriously consider everything that we have heard and

109

1 discussed and hopefully do a good job in incorporating
2 that in the next version of the model. Thank you, Dr.
3 Ozkaynak, and also to everyone on the EPA staff and their
4 consultants who contributed to the preparation of this
5 exposure assessment report.

6 And I think what I'd to do at this point is
7 adjourn briefly for a break, a 15-minute break. Let's
8 return here at 11:05. And my aim is, if possible, to
9 cover Questions 8 and 9 prior to our noon-hour break
10 leaving Questions 10 through 12 for the afternoon.

11 (Break taken at 10:50 a.m.;

12 session resumed at 11:07 a.m.)

13 DR. HEERINGA: Let's reconvene to continue with
14 our responses as a Panel to the questions.

15 Actually, I think I'm jumping the gun because it
16 appears that a few key members of our panel probably our
17 checking out of their rooms.

18 VOICE: All the risk people have left.

19 DR. HEERINGA: We're still missing a few key
20 members of the panel. Okay. I believe that we've reached

110

1 a quorum. I'd like to ask Paul Lewis if he has any
2 administrative comments to make.

3 MR. LEWIS: Members of the Panel, we know we've
4 given you a vast amount of papers in the past several of
5 days. To make your travel easier, if you want us to ship
6 any materials back to your home office, please approach
7 myself or members of the SAP staff and we'll have those
8 materials sent back to you. You'll probably have them
9 when you arrive back on Monday. Thank you.

10 DR. HEERINGA: I think the most convenient way
11 to do that is, in the break-out room, if you were just
12 leave your materials with a slip with your mailing address
13 on the top of it, we'll handle it that way.

14 In addition for the record, the Panel has
15 received three additional documents. The first one
16 appears to be an advertisement for a conference in
17 Orlando, Florida, sponsored by the Florida Agricultural
18 Mechanical University and University of Miami. FYI.

19 The second is a series of distributions that I
20 believe we requested yesterday from the EPA staff on the

111

1 annual distribution of exposure time and days, contact
2 days, and also exposure events on playgrounds. This is
3 presented for the warm climate data. There are four
4 charts here that will again be part of the public docket.

5 And in addition, there's a packet containing the
6 PowerPoint slides for the extra question slides that the
7 EPA staff referred to periodically in our discusses over
8 the last two days. So those are also available to the
9 Panel now and will part of the public docket.

10 At this point in time, I'd like to continue with
11 the series of questions directed to the Panel.

12 Mr. Jordan, should we go directly to Dr. Dang.

13 MR. JORDAN: Dr. Dang will read the questions,
14 and he'll provide clarifications if there are any
15 questions about our questions.

16 DR. HEERINGA: Thank you very much.

17 DR. DANG: My name is Winston Dang. I'm going
18 to read the Question No. 8.

19 Issue 8: In the study by Nico et al. (2003),
20 X-ray absorption spectroscopy (XAS) was used to determine

112

1 the chemical and structural state of arsenic and chromium
2 molecules in CCA-treated wood residue samples. Based on
3 the results of their analysis, Nico et al. (2003)
4 determined that arsenic and chromium formed a "chemical
5 complex bonded to the wood structure." Based on this
6 study, the dominant oxidation state of the two elements is
7 arsenic 5 and chromium 3, and the local chemical
8 environment of the two elements is best represented as a
9 stable chromium arsenic cluster consisting of a chromium
10 dimer bridged by an arsenic 5 oxygen ion. Nico et al.
11 (2003) also maintained that this chemical complex was
12 quite resistant to leaching.

13 Question A: The Panel is requested to comment
14 on the Nico et al. (2003) study and particularly on the
15 arsenic and chromium chemical complex from CCA-treated
16 wood surface residue, and whether the Panel believes that
17 the chemical complex is formed during the fixation
18 process. What is the meaning of this complex cluster
19 formation to the current risk assessment?

20 DR. HEERINGA: Thank you, Dr. Dang. And Dr.

113

1 Wauchope will be the lead discussant in our response to
2 this question.

3 DR. WAUCHOPE: Thank you. Can you hear me?
4 This was a lot of fun. I didn't know any of the other
5 discussants. And that's Drs. Bates, Francis, Styblo, and
6 Stilwell and myself. And with enough food and drink,
7 we've managed to consense, I think, closely. I won't say
8 that. They keep bringing me more notes. I'm going to
9 read, this is Draft 3 of this response. And then I will
10 ask each of these other discussant if we've more or less
11 represented the discussions adequately or not.

12 This Issue 8 is really three questions, so we've
13 reformulated the questions a little bit. The first
14 questions is the complex formed during the fixation
15 process. Second is this is the complex identified by Nico
16 et al. The second question is the complex identity
17 certain. The third question is what is the relevance of
18 the complex identity to the risk assessment process.

19 So is the complex formed during the fixation
20 process. There is little doubt that chemical reactions

1 occur between arsenic and chromium during fixation and
2 that these reactions greatly diminish the solubility of
3 arsenic in CCA-treated wood. The Nico et al. study does
4 not attempt to address the mechanism of formation of the
5 complex. However, given the complex is almost complete
6 dominance of the species present in the CCA-treated wood,
7 new and aged, and in the American Chemistry Council's
8 residue preparation samples, we will call that ACCR from
9 henceforth, it is likely that the complex is the major
10 product formed during wood treatment.

11 There's a been a process here in which this
12 material which was prepared by the American Chemistry
13 Council and distributed to several researchers has been
14 referred to as residue and that's a rather generic term.
15 So we've decided to acronymize it and call it ACCR because
16 this is a special material prepared under a specific set
17 of circumstances and the issue is whether that material is
18 representative of dislodgeable residues in general as
19 utilized by the risk assessment.

20 Second question, is the complex identity

1 certain. The specific complex described by Nico et al. is
2 consistent with the spectral data. But a requested review
3 of the manuscript by an expert in X-ray absorption
4 spectroscopy elicited the following comment: "The author
5 should clearly indicate that their proposed cluster
6 structure is just one possible example of longer range
7 structure second coordination sphere, and the real
8 structure is probably much more polydispersed than this
9 suggests. I would agree" -- this is the reviewer -- "that
10 the cluster structure proposed is not a unique solution to
11 the X-ray absorption's fitting and that other potential
12 cluster structures" -- actually it's X-ray absorption fine
13 structure fitting -- "and that other potential clusters
14 should be considered and used for fitting to give the
15 reader a feeling about the uniqueness or lack therefore of
16 these longer range interactions."

17 Continuing the quote, "The most common mistake
18 made when analyzing XAS data is the failure to realize
19 that molecular models that provide good fits to defined
20 structure may be only one of a number of models that

1 provide equally good fits. That is the uniqueness of
2 given simulation can almost never be proven. The other
3 important realization is that XAS always provides an
4 average environment and cannot be used to uniquely
5 identify structural components of a mixed population.
6 Often missing this key fact causes authors to propose
7 homogenous structural environments when a heterogeneous
8 sample is analyzed.

9 This expert reviewer also noted that, There is
10 an apparent consistent fluctuation of chromium arsenic
11 rations between lower and higher density wood areas
12 suggesting some variation in speciation between the areas.

13 It's likely there are additional fixation products, at
14 least for chromium, given the reactivity of chromium and
15 the range of possible reactive sites within the wood
16 structure.

17 Studies are also in general agreement that when
18 the fixation reactions are complete, less than 1 percent
19 of the chromium in the wood is hexavalent. There have
20 been fewer studies confirming the valent state of arsenic.

117

1 And the Nico study is important in this regard. For the
2 purposes of this SAP, the exact nature of the chromium
3 arsenic fixation product may not be critical. However,
4 further work in characterizing the chemical nature of the
5 arsenic chromium complex, particularly in dislodgeable
6 residues, would contribute to the risk assessment process.

7 Anybody want to comment on that so far? Okay.

8 What is the meaning of this complex cluster
9 formation whatever it's precise structure to the current
10 risk assessment.

11 The Nico study is an important advance in
12 understanding the nature of the speciation or structure of
13 fixed chromium and arsenic in CCA wood. The point is that
14 the arsenic in CCA-treated wood has low solubility, the
15 arsenic is primarily pentavalent, availability, and the
16 chromium is trivalent.

17 The Panel agrees that this complex regardless of
18 minor variations of structure, which is bound to the wood
19 structure, is liable to be of limited bioavailability
20 compared to arsenic in solution. This conclusion is

118

1 strengthened by the near identical spectra in new and aged
2 wood samples and in the ACCR.

3 This indicates that the complex is quite stable
4 at least while it's incorporated in the wood structure.
5 It must be remembered that ACCR appears to be mostly a
6 dried suspension of fine CCA wood particles. And we refer
7 to the Battelle study which was circulated very shortly
8 before this meeting. Thus ACCR would not be expected to
9 exhibit significantly different speciation in an X-ray
10 absorption study.

11 There is some evidence, see the Casteel studies
12 in Issue 9, that a significant fraction of the arsenic in
13 that preparation can be solubilized in the GI tract.
14 That's the 27 percent figure.

15 An important question which we consider to be
16 unanswered at present, is whether the ACCR preparation
17 adequately represents those chemical species that are
18 leached from CCA wood to soil; or more importantly, those
19 chemical species that adhere to skin, the most significant
20 route of exposure to arsenic. The reason for this concern

119

1 is that leaching studies of CCA-treated wood consistently
2 report that a higher proportion of arsenic than 2 moles of
3 chromium per mole of arsenic is released from the wood.
4 During weathering, UV degradation and leaching may release
5 forms of arsenic that are more soluble while releasing
6 less chromium.

7 The result is the soluble part of the residue
8 has a lower chromium-to-arsenic ratio than residue
9 particles or bulk wood. This hypothesis is strengthened
10 by the ACC wipe study. Residue obtained by the block wipe
11 and coupon wipe method had a higher chromium to arsenic
12 ration than the obtained by gentler hand wiping suggesting
13 that the more aggressive stirring wiping methods remove
14 more wood particles thereby raising the overall chromium
15 arsenic ratio.

16 Running that by you and reading it to you is a
17 little tough because we had a good deal of arm wrangling
18 trying to get this to make -- the bottom line is: Is that
19 CCA wood has a 2 to 1 chromium to arsenic ratio basically
20 based on the X-ray data, based on most analytical work.

120

1 Material leached from arsenic from rainfall, for instance,
2 typically has a much higher arsenic level. In other words
3 -- please jump in here if I'm not saying this correctly --
4 arsenic is the most leachable of the element, is the more
5 leachable of the two elements; and, therefore, it's most
6 likely that what kids are exposed to has a higher fraction
7 of soluble arsenic than there is in this complex.

8 The chromium arsenic mole ratio of 2 predicted
9 by Nico's dimer model is consistent with the 2.2 reported
10 in aged, treated wood and somewhat consistent with the 1.7
11 in ACCR residue. Stilwell reported an average mole ratio
12 of about 2.2 in dislodged residues, and the ratio was 1.7
13 in residues analyzed by the RTI study. However, the ratio
14 found on hand residues in the ACC hand wipe studies was
15 only 1.3, suggesting the hand contact with wood surfaces
16 dislodges fewer wood particles containing this insoluble
17 arsenic chromium complex and more of an unbound fraction
18 of arsenic, probably an arsenic that is more available.

19 Thus it is possible that some arsenate detaches
20 from the chromium dimer where it's preferentially leached

1 from the wood. The driving force behind the
2 disassociation of the dimer could be UV radiation and
3 acidic rain water. In Nico et al. the potential for
4 reaction with acid rain was mentioned.

5 Some examples showing that the chromium arsenic
6 mole ratio is less than 2 can be found in a review of
7 leaching by King et al. And this is a review of arsenic
8 leaching and arsenic leaching materials. I won't give you
9 all the numbers. But it can range all the way down to
10 just a very small fraction of chromium.

11 In a paper by Lebow et al. in '99, the long-term
12 release rate of copper, chromium and arsenic was given.
13 Computed chromium arsenic mole ratios from Table 7 in this
14 work are .16, .48, and .23 as opposed to 2 to 1 in the
15 complex.

16 Lebow also measured leaching of new wood under
17 simulated rainfall conditions 2003. In this case, the
18 chromium arsenic mole ratio was .34. In soils, the
19 chromium arsenic mole ratio after background correction
20 for chromium and arsenic was .5 in studies by Stilwell and

122

1 Gorney. While the mole ratio was .7 in a report by Segury
2 et al.

3 The bottom line is the arsenic that you find
4 away from the wood particles is very high in arsenic and
5 very low in chromium compared to the material that's found
6 in the ACC residue. Therefore, the erosion material
7 represented by ACC residue may not adequately represent
8 the longer terms affects of rain water, sunlight, UV, and
9 diffusional components as pointed out by Nico et al. As
10 pointed out by Nico et al., Stilwell has proposed a model
11 to explain a rejuvenation effect noted on the wood
12 surface, a slow replacement of dislodgeable residues after
13 removal by leaching. This model evokes erosion,
14 diffusion, and rain water affects.

15 Any residue description would have to account
16 for the observed preferential release of arsenic in the
17 leachate in the soils. One explanation for the
18 discrepancy between the mole ratios is that the actual
19 surface layer when exposed to environmental conditions
20 could contain both soluble and relatively insoluble

123

1 arsenic fractions.

2 In summary, the Panel concludes that the Nico
3 study, while important in the understanding of the nature
4 of chromium and arsenic fixation in CCA wood is of little
5 utility in the arsenic or chromium risk assessment.

6 DR. HEERINGA: Thank you, Dr. Wauchope and
7 associate discussant. Any comments from the associate
8 discussants either in support or extension of the
9 presentation? Dr. Lebow.

10 DR. LEBOW: That was an excellent summary.
11 After hearing it spoken, I think there is some value. I'm
12 not sure how it would be used in risk assessment. I
13 certainly think this does help us establish the valence
14 states in the wood itself and if the wood compose the
15 majority of the residue, whatever fraction of that residue
16 is wood fiber is going to be insoluble arsenic.

17 DR. HEERINGA: Thank you. Very much for that
18 clarification. Dr. Hattis.

19 DR. HATTIS: I'd like to try to induce you to
20 draw the implications a little bit more for the use of the

124

1 arsenic bioavailability study in the pigs which I gather
2 was done with the same wood residue material. And so it's
3 -- but also degree of contamination measured in the ACC
4 study because both are being used here in the analysis.

5 I had earlier thought that it was, you know, we
6 really should be taking the 27 percent bioavailability as
7 good an estimate of reality as like is to be the case.
8 But, clearly, if you think that that doesn't material
9 doesn't actually represent to some extent the available
10 stuff, then there's two different kinds of corrections
11 that could be made. One is the available stuff is a
12 minority of the stuff that's measured on the surface, but
13 also the available stuff is more available than we thought
14 it was from the pig expert.

15 DR. WAUCHOPE: The fraction, the relative
16 bioavailability fraction of 27 percent is more or less
17 addressed in Issue 8. Issue 9. We address that a good
18 deal. Because the bottom line here is this residue
19 preparation by the American Chemistry Council is -- what
20 I'm saying is going to go into the record? I guess it

125

1 will. We think that it may be pretty much artifactual in
2 that it's apparently a good representation of finally
3 ground CCA wood.

4 And the question is: Is the material that we
5 we've been calling dislodgeable residue, which is whatever
6 gets on skin, hands, is that the same material. And we
7 don't think it's very representative.

8 And that also, of course, raises the issue with
9 the monkey study because this same material was taped to
10 the abdomen of monkeys. And when we get to the discussion
11 in 9, I think the issue is there's probably a fraction of
12 dislodgeable residue generally speaking that is soluble.
13 And that's the fraction that gets into the soil and it's
14 the fraction that probably gets through the skin. And
15 it's probably the fraction that is ingested from the
16 fingers when you put the fingers in the mouth. And this
17 ACC residue preparation may very well have missed the
18 soluble residue, the soluble part of the dislodgeable
19 residue.

20 DR. HATTIS: So my interpretation of the bottom

126

1 line to some extent here is that we should have somewhat
2 greater uncertainty due to the possible representativeness
3 of this stuff for the actual bioavailable arsenic that's
4 really on the surface.

5 There are two different possible corrections.
6 There's less than we thought. But it's more available
7 than we thought. And I don't know which way the net
8 effect goes.

9 DR. WAUCHOPE: We sort of agreed amongst
10 ourselves we wouldn't come up with a different number
11 unless we were pressed. The 27 percent probably is low.
12 But that's probably not 100 percent either. Obviously
13 only a fraction of any of the studies is going to be
14 soluble. The .01 percent we really have a question. I
15 think -- well, we've not discussed that amongst movements
16 ourselves. But I certainly think that the .01 percent
17 based on the powered ACCR residue is probably very
18 problematic.

19 DR. HEERINGA: I think we'll have a chance to
20 pick up the bioavailability issue in Question 9. Dr.

127

1 Francis.

2 DR. FRANCIS: Just a little clarification. And
3 your absolutely right. Most of it will be discussed in
4 the next question. I think what people were thinking is
5 that if in the residue, the ACC-prepared residue,
6 everything was a complex. Well, then everything would be
7 totally insoluble and it would just go through the body.
8 Well, clearly, the pig study shows the something is
9 happening in the digestive tract. So even if this
10 residue, this complex, is in the residue outside in the
11 environment, that doesn't necessarily indicate what's
12 happening in the body.

13 DR. HEERINGA: Thank you very much, Dr. Chou.

14 DR. CHOU: I agree with the assessment of this
15 team. But with regard to the meaning of the risk
16 assessment, I guess it depends on what are we talking
17 about. Are we looking at the risk of children's exposure
18 to material transferred through their hand upon contact?
19 Or are we talking about children mouthing the wood? If
20 we're talking about children mouthing the wood, maybe the

128

1 chopped up wood would be a good complex, would be a good
2 estimate that could be used for that kind of risk
3 assessment. Maybe in the future when they incorporate
4 mouthing the wood as additional route of exposure.

5 But as far as for what we were talking at hand,
6 touching, hand touching, I agree totally that this
7 material is not representative of, I would say, what's
8 actually transferred to the hand upon contact.

9 DR. HEERINGA: Dr. Matsumura.

10 DR. MATSUMURA: Yeah, I certainly agree with the
11 analysis. Pretty good job. There's no question that if
12 you use the leaching as a good indicator, there's no
13 question that they leach out, soil residues and increase
14 the arsenic content.

15 I was trying to think why there was such a big
16 difference between the residue provided from the one that
17 they are rarely touching the surface. I felt probably
18 water may be a one of the mediums that we have to
19 consider. Ionic forms indeed penetrate and indeed
20 accumulate in the human skin and that's shown by the

129

1 Wester's '93 paper. So there is affinity and probably
2 this ionic forms which will help them to transfer.

3 So again, I may go back to the question that
4 maybe one of the factors and we were thinking like those
5 fishing on the fishing boat and the outdoor activities and
6 teenagers like fishing, how many wet butts that touch.
7 And if water is the medium through which these ionic forms
8 are transferred to human skin, then we should not neglect
9 that. We still have to resolve why a difference between
10 the monkey studies with those residues, so-called
11 residues. And probably you are right that the when you
12 grind them up and you scrub so hard, you may get residue
13 from inside which is intact. Where the ones on the
14 surface may represent really truly a soluble form coming
15 up or down, whatever the direction. So I agree with Dr.
16 Stilwell's assessment that the ratio show change is a
17 really good indicator. So I agree with you.

18 DR. HEERINGA: At this point, if the Panel
19 agrees and has no further comments, we have the
20 opportunity I think to move onto Question 9 on

130

1 bioavailability. And I think that's a logical successor
2 to the discussion we've just heard on Question 8. So, Dr.
3 Dang, would you, please, read the question.

4 DR. DANG: Issue 9: Casteel et al (2003)
5 reported that the relative bioavailability (RBA) of
6 dislodgeable wood residue is 27 percent. This value is
7 significantly lower than the default value of 100 percent
8 that is usually employed when reliable site-specific data
9 are lacking and also lower than the RBA value recommended
10 by the SAP 2001. The result of this study indicates that
11 the arsenic in the dislodgeable arsenic material is not as
12 well absorbed as soluble arsenic.

13 Question A: Does the Panel agree that, in light
14 of the Casteel study and the Nico study discussed in Issue
15 8, the Agency should use 27 percent for the RBA to
16 estimate the bioavailable dose?

17 DR. HEERINGA: Dr. Wauchope,

18 DR. WAUCHOPE: Was it David MacIntosh? I can't
19 read. Who said the short answer is no.

20 DR. MACINTOSH: I said the short answer is yes.

131

1 DR. WAUCHOPE: This is a shorter read you'll be
2 glad to hear,

3 As stated in Issue 8, there is little doubt that
4 arsenic in CCA-treated wood is less soluble than it would
5 be in a form such as sodium arsenate. The form and
6 solubility of arsenic in the ACCR residue preparation is
7 less clear although the results of Nico et al. suggest
8 that is is similar to that of treated wood.

9 Casteel et al. measured urinary excretion of
10 arsenic in juvenile swine feed ACCR as compared to soluble
11 arsenic 5 arsenate at similar total arsenic dosage. They
12 reported a urinary excretion factor of 23 percent and a
13 relative bioavailability compared to arsenate. this is 29
14 plus or minus 3 percent is the correct No. 27. I don't
15 know. I got 29 percent here.

16 Since ACCR is essentially particulate
17 CCA-treated wood, we would expect the RBA to be small.
18 Other residues from other sources could behave
19 differently. Our concern, again, is that the residue used
20 in both these studies may contain a higher proper

132

1 proportion of wood particles than would be obtained by a
2 hand wipe. The residue was generated by brushing the wood
3 with a soft brush and then filtering out the larger
4 material. Comparisons of hand wipe data to that from
5 other forms of wiping, such as the ACC study in 2003, the
6 RTI study, indicate the nonhand wipes are more abrasive.
7 It is possible that residue on a human hand may contain a
8 lower proportion of wood particle than a higher proportion
9 of arsenic.

10 Other concerns suggest that the ACCR feeding
11 experiment may underestimate CCA wood dislodgeable
12 residues in general. I've got, I think, six of these: 1
13 to 3 year old deck is not a typical neighborhood deck.
14 One other study suggested longer weathering results and a
15 great leakage of arsenic 3 -- we heard that yesterday from
16 the lady from Miami -- from the CCA material. Older decks
17 may yield results.

18 Methyl arsenic species standards were not
19 checked as part of the arsenic methods validation for the
20 urine analysis. Do pigs metabolize arsenic to form methyl

133

1 arsenous acid or dimethyl arsenous acid or trimethyl
2 arsenine oxide? What is the reverse of the method for
3 these species? For example, trimethyl arsine oxide
4 recovered from urine can be poor when acid digestions are
5 used.

6 In general, under steady state conditions,
7 urinary excretion patterns of arsenic are representative
8 of GI absorption. Previous studies in swine suggests that
9 the steady state for soluble inorganic arsenic species
10 reached after approximately five days. The metabolic
11 patterns including pharmacokinetics of urinary excretion
12 and tissue distribution for arsenic species in
13 dislodgeable residues or CCA-contaminated soils have never
14 been characterized. The calculation of relative
15 bioavailability in Casteel's report is based on the
16 assumption that steady state was reached for the
17 metabolism of arsenic in all treatment groups, i.e., those
18 fed with arsenic -- I'm sorry.

19 I'm getting ahead of my mouth. Those fed with
20 arsenate and those feed with various doses of CCA-treated

1 materials. This assumption is, however, based on a
2 limited number of time points, three for dislodgeable
3 arsenic study and two for the soil study. In fact, the
4 urinary excretion patterns indicate that steady state was
5 not reached in animals treated with the high dose of
6 arsenic contaminated soil. We're talking about both the
7 earlier Casteel study and the CCA residue. And in animals
8 treated with a low dose of arsenic in dislodgeable
9 residues. And I need to change that to ACCR, Figure 4.2
10 in both papers.

11 In addition, the steady state was not reached in
12 animals fed with arsenate in the dislodgeable arsenic
13 study as indicated by increasing urinary excretion of
14 arsenic between days 6 and 11. These discrepancies
15 undermine the Offices conclusions and contribute
16 significantly to uncertainties regarding the validity of
17 the calculated RBA values for both dislodgeable arsenic
18 and arsenic from CCA contaminated soil.

19 Suggestions: The steady state conditions for
20 metabolism of arsenic from dislodgeable residues and

135

1 contaminated soil should probably be evaluated before
2 accurate RBA values can be determined. Obviously,
3 examination of absolute bioavailability would provide more
4 valuable information. This may require examination of
5 biliary and fecal excretion and tissue distribution
6 patterns in animals chronically exposed to dislodgeable
7 residues and CCA-contaminated soils.

8 Problem No. 4, speciation of arsenic in the
9 urine should have been performed to provide basic
10 information about metabolism of both arsenic treatments.
11 For example, higher urinary levels of arsenic would
12 indicate that methylation is suppressed and consequently
13 greater amounts of arsenic of species are retained in
14 tissues.

15 Problem 5, The Panel was unable to ascertain
16 from the information given the relationship between the
17 concentrations of metals in the ACCR preparation and the
18 surface area the boards extracted.

19 Let me insert my own comment. Maybe it's out
20 there. Maybe in reports filed by somebody there was an

136

1 analysis where they reported, for instance, the volume of
2 water used to extract all the boards and the mass of
3 material that they got from that volume of water. But
4 that information is not available anywhere that we can
5 find it. And this means we have no way of relating the
6 arsenic concentration in the ACCR material to the original
7 surface concentrations that were extracted. This means we
8 have no way of relating the dosages in any of these
9 experiments to dosages that might be acquired from a
10 hand-wiped dislodgeable residue. Thus we have no idea how
11 to relate the dosage to use to the risk assessment
12 scenario.

13 And finally, No. 6, if there were soluble metal
14 species present in the original board wash water, could it
15 have formed a thin film on rotary evaporation and could it
16 have been left behind when the particulate material was
17 collected? Was the rotary evaporator flasks rinsed and
18 the rinsate checked? If arsenate was released and
19 arsenate turns out to be the molecule, the species that is
20 causing all of the exposure and toxicology, then that may

137

1 be a very small fraction of the ACC residue. It may be a
2 very small fraction of what you get in the leachate test.

3 But if it was left behind in the rotary flask, then that
4 check was not done, then this preparation ACCR may simply
5 have left behind the important fraction.

6 The Panel concludes that the 27 percent figure
7 may be approximately correct for ACCR, the residue
8 material, except for the uncertainties given above. But
9 this represents a lower bound on CCA wood dislodgeable
10 residues in general. The issue is whether the 27 percent
11 figure applies to actual dislodgeable residues on the
12 hand, and the answer is unknown.

13 Any comments from the other discussants?

14 DR. HEERINGA: Thank you, Dr. Wauchope? Other
15 associate discussants? Yes, Dr. Chou.

16 DR. CHOU: I would at this point try to point
17 out the distinction between the so-called ACCR and what we
18 consider as presumably leachable soluble arsenic on the
19 wood surface.

20 DR. HEERINGA: Thank you. Dr. Styblo.

138

1 DR. STYBLO: Just jumping to what my colleague
2 just said. In evaluating Casteel's work, it was actually
3 useful to look at both papers; although we were asked only
4 about the dislodgeable material. But if you compare data
5 from soil and from dislodgeable material, ACCR, if you
6 compare data from soil, and I mean the relative
7 bioavailability, it's much greater in soil based on this
8 data whatever problems with these data may be, which would
9 suggest that, again, whatever leaks from the wood is much
10 more bioavailable than whatever is in the wood.

11 DR. HEERINGA: Thank you.

12 DR. STYBLO: And one more comment. I would like
13 to put more stress on it. I believe in 2003 and any time
14 later any studies that has metabolic components regarding
15 the arsenic should include a speciation of arsenic. I
16 mean especially if you deal with compounds that are
17 basically unidentified or components for which metabolism
18 is unknown. It would give us a much better idea about
19 what's happening from the animal,

20 DR. HEERINGA: Thank you very much. A question

139

1 for the Panel to help me think through. This particular
2 parameter, the relative bioavailability, I assume this is
3 oral ingestion? It's really a linear scaling factor in
4 the exposure analysis, is it not? So if it were off by a
5 factor of 2, it would change the overall exposure
6 assessment by a factor of 2?

7 Dr. Zartarian.

8 DR. ZARTARIAN: Yes, I'd like to clarify that in
9 the exposure assessment, we did use a point estimate of 27
10 percent. We used a beta distribution fit to the pig study
11 data with a mean of 27 percent but intercoral range of 20
12 percent to 35 percent. And that's all I'll say about that
13 just to clarify.

14 DR. HEERINGA: Dr. Dang.

15 DR. DANG: One more thing I'd like to respond
16 to. Dr. Wauchope mentioned about ACC studies. Original
17 studies are 29 percent. Actually, we review it. That
18 slight difference is because we calculate based on the
19 same group. In the ACC study, they used 5 control and 15
20 test animals. And for the ACCR tests animal is used

140

1 difference. So we try to compare the same group of
2 animals. That's why there's the slight difference of 27
3 percent.

4 DR. WAUCHOPE: 27 percent.

5 DR. DANG: 27 percent is what we considered is
6 correct. And another thing, one more think I'd like to
7 mention, the center correction is from a wood use based on
8 the brush bristle-brushed wood from 35 ppm wood, is about
9 one to years old, aged-wood. So basically the same as the
10 dermal absorption one.

11 DR. HEERINGA: Thank you, Dr. Dang. Dr.
12 Riviere.

13 DR. RIVIERE: I'd like to make a comment on
14 pigs. Assuming the absorption of this complex in pigs,
15 that pigs probably absorption this better than humans.
16 Based on another panel I'm involved in with FDA on looking
17 at interspecies's bioavailability comparisons to human
18 data, there are two unique aspects about pigs that differ
19 with humans.

20 One is that, unlike other monogastrics, pigs

141

1 have a lot more distal intestinal tract and they are
2 capable of metabolizing cellulose. So the question comes
3 into play that with this CCA complex that essentially is
4 bound to wood, that the bioavailability of a complex like
5 that would be higher in the pig compared to a human.

6 The reason this was an issue is that a lot of
7 slow-release pharmaceutical preparations in humans are
8 cellulose-based. If you administered those to a pig, you
9 get a rapid release. You don't get a slow release because
10 of metabolism. That's pretty well known.

11 The second aspect is the gastric empty in time
12 of the pig compared to the human is much longer and
13 especially longer for particular matter. So another
14 situation that if you do have the wood complex. And I
15 agree with the soluble aspect. But even assuming that
16 just from this angle is that there's a lot more potential
17 for acid hydrolysis in the pig stomach with particles than
18 there is in the human.

19 So this 29 percent, if this is only reflecting
20 bound compounds, is probably higher than what the

142

1 bioavailable fraction would be in the human.

2 And I have a reverse and a statement for this.
3 This has been pretty well worked out and a known situation
4 for looking at particulates compared to other species.

5 DR. HEERINGA: Thank you, Dr. Riviere. Dr.
6 Styblo.

7 DR. STYBLO: Just a question. Does this apply
8 also for bioavailability of absorption of the metals? You
9 mentioned cellulose, microflora. How about metals?

10 DR. RIVIERE: No one has looked at that. But
11 the key is --

12 DR. STYBLO: What you say is a general feature
13 for absorption in swine compared with human, can you
14 really say that it applies to metals?

15 DR. RIVIERE: You can say it applies to breaking
16 down the cellulose structure. That then would potentially
17 liberate the free compound.

18 DR. STYBLO: That may liberate the complex
19 chromium arsenic based on the structure.

20 DR. WAUCHOPE: That's right. But I guess the

143

1 point is that probably what makes this material so
2 insoluble is its linkage to the lignin and the cellulosic
3 structure of the wood. So if the pigs can free the
4 complex from the wood structure, then maybe the stomach is
5 the only else you need.

6 DR. HEERINGA: Thank you, Dr. Wauchope. Dr.
7 Bates.

8 DR. BATES: I just like to say that reading the
9 two reports, the Casteel report said both got identical
10 figure 3.1 conceptual model for arsenic toxicokinetics.
11 And it seems to me that there is a fundamental error in
12 it. In the calculation of relative bioavailability, it
13 seems the KU, which is the fraction of absorbed arsenic
14 which is excreted in urine, it assumes that they are
15 identical for both the reference material and the
16 dislodgeable residue. And we've got no reason to believe
17 that's the case. And it's only if that is the case, then,
18 in fact, the conceptual model works. But I don't think it
19 is correct.

20 DR. HEERINGA: Can you see that Dr. Wauchope has

144

1 that?

2 DR. BATES: Sure.

3 DR. HEERINGA: Thank you. Dr. Matsumura.

4 DR. MATSUMURA: I generally agree with what this
5 group has concluded. I wonder whether we could suggest
6 that instead of using that shaved material or scrubbed
7 materials, this committee should really recommend that
8 leached or leachable material from those wood that means
9 the soluble forms which can be washed of out those boards
10 which actually should represent better material as a
11 standard testing for bioavailability.

12 That means those wood particles would just
13 scrubbed away from the wood is not the one that you are
14 going to transfer to the human skins. And if you used
15 washed materials that may represent a better type of
16 bioavailability sources.

17 I'm just wondering whether that could be
18 possible. Because we want to simulate both the dermal as
19 well as the oral bioavailability. Right? So we have to
20 start paying attention to that big difference between

145

1 those bound really processed fixed materials from actually
2 transferring to human skin potentially at least.

3 DR. HEERINGA: Dr. Lebow.

4 DR. LEBOW: If my understanding is how this is
5 working, that would lead to a great over estimation of
6 availability because how the EPA is handling this is on
7 the amount of residue on the hand. Now, some pro portion
8 of that residue may be soluble arsenic. It looks like
9 most of the most of it is probably wood fiber. We don't
10 know if it's 95 percent wood fiber or 85 percent wood
11 fiber. That's what we're getting with this brushing.
12 It's probably still mostly with fiber on the hand, but we
13 don't know what the proportion is relative to brushing.

14 If you just use soluble arsenic, that would
15 represent a much greater proportion than you would have on
16 residue. So if you're going to use just soluble arsenic,
17 you have figure out what is the proportion of soluble
18 arsenic in the residue on their hand and adjust the dose
19 accordingly. So if the soluble arsenic in the hand is
20 only 1 percent of the -- if the residue is only 1 percent

146

1 soluble arsenic, you would have to reduce your dose
2 accordingly.

3 Do you see what I'm saying? You couldn't apply
4 the same volume of leachate of soluble arsenic, or the
5 same mass, because that would be a higher proportion of
6 soluble arsenic in my opinion.

7 DR. HEERINGA: Thank you very much. Dr.
8 Wauchope.

9 DR. WAUCHOPE: I agree with that. Fumio, we
10 don't want don't now want to say that the ACCR residue
11 doesn't represent anything. It probably represents mostly
12 the nonsoluble fraction of dislodgeable residues. That's
13 what we're really trying to say. And to the extent that
14 it does not contain the soluble fraction, then it's not
15 representative of exposure.

16 DR. HEERINGA: Dr. Lebow.

17 DR. LEBOW: I think it does contain the soluble
18 fraction. It has just as much soluble arsenic, but it
19 probably has a little more wood. So the proportion of
20 soluble arsenic may be slightly lower than what would be

147

1 on a child's hand. That, I think,, is the gist of the
2 residue difference.

3 DR. HEERINGA: Thank you very, very much to the
4 members of the Panel particularly the specialities
5 represented here. That's very thorough.

6 Are there any additional points of clarification
7 from the EPA on this question item? There's a lot of new
8 research that's been brought to this issue, and I
9 appreciation the contributions.

10 Dr. Hattis.

11 DR. HATTIS: I guess my own casual
12 interpretation of what's been presented in the last half
13 hour is that one should have more uncertainty in this 27
14 percent parameter than for many of the other parameters.
15 And if we were to offer some quantitative guidance on
16 that, that it doesn't sound implausible that the true
17 number could be three fold difference in either direction.

18 Would the people who are more expert in this
19 suggest some other factor?

20 DR. HEERINGA: Dr. Styblo.

148

1 DR. STYBLO: Well, I didn't mean to make it
2 formal. We have two papers from Casteel's lab, one
3 dealing with dislodgeable material, whatever you call it;
4 and one dealing with soil contaminated with CCA. There
5 are two numbers for relative, relative -- just remember,
6 these are relative availability. That's pretty important.
7 We have two numbers. One is 27 or 29.

8 DR. DANG: The other one is 49.

9 DR. STYBLO: Right. So if we are not sure about
10 the proportional distribution of arsenic between soluble
11 and insoluble part, we can be pretty sure that whatever is
12 in the soil is the soluble arsenic.

13 Why don't we use these two numbers to derive
14 more certain or average number for bioavailability of
15 arsenic in dislodgeable residues? That's my personal
16 opinion. It wasn't discussed with the Panel I should
17 point that out.

18 DR. HEERINGA: Dr. Hattis.

19 DR. HATTIS: I think that that's not at will
20 unreasonable for raising it. It's doesn't quite meet your

149

1 full concern about the measurement of bioavailability
2 though because, if steady state has not been fully
3 achieved, then you could possibly have a farther removed
4 from steady state for the residue than for the soluble
5 arsenic because it would take some time perhaps to be
6 digested in the intestine and then absorbed. Right? So
7 that would lead to a greater underestimation of real
8 bioavailability for the residue than would be measured in
9 the direct test.

10 On the other hand, if the pigs are much more
11 able to digest the residue at the very least than people
12 are, as I think it was pointed out over here, then we have
13 some possible over estimates giving us uncertainty in the
14 other direction. So that's partly why I'm trying to
15 capture that this expands your confidence limits a bit.
16 Three fold perhaps is not out of the question. Combining
17 both of those -- three fold in either direction is not out
18 of the question combining those. The question is whether
19 we have got the right central estimate as well, but also
20 whether the Panel agrees. And by plausible, I really fuzz

150

1 the uncertainty description here.

2 DR. HEERINGA: Since the effect of this
3 particular parameter on the exposure assessment is nearly
4 linear, a three fold increase is 100 percent roughly. and
5 a three fold decrease is about 10 percent. And I think
6 the critical issue is whether or it's 27 percent or zero
7 because that's going to make orders of magnitude
8 difference in the final exposure assessment. Dr.
9 Zartarian.

10 DR. ZARTARIAN: In light of the this discussion,
11 I thought it would be helpful to remind the Panel of the
12 analysis that we did do, sensitivity analyses that we did
13 on this factor as well as increasing and decreasing by a
14 factor of two as well as the special simulation where we
15 assumed it was a hundred percent.

16 When we assumed a factor of two, when we
17 increased it from 27 to 54 percent or cut it in half, we
18 saw a difference in the dose of plus or minus 40 percent
19 with the increase or decrease. And when we increased it
20 from the mean, used a point estimate of a hundred percent,

151

1 we saw an increase in dose for the children assumed to
2 contact both playsets and decks by 90 percent.

3 DR. HEERINGA: Thank you. Dr. Chou.

4 DR. CHOU: I also wanted to point out the
5 uncertainty around the soil. Actually it depends upon the
6 soil type. That's arsenic from CCA leachate from
7 CCA-treated decks coming down to the soil usually stays
8 around underneath less than two feet. It's a very tightly
9 bound. It depends upon the soil as well. I don't know
10 whether trying to use this as an estimate is -- will be
11 introducing more uncertainty.

12 DR. HEERINGA: Dr. Wauchope.

13 DR. WAUCHOPE: Well, amongst ourselves, we
14 agreed that the 50 percent perhaps is the central tendency
15 of this number is probably what we would like to
16 recommend. How you distribute that in some kind of
17 distribution function is your business. But it sounds
18 like that's about the best we can do.

19 DR. HEERINGA: That in combination with the
20 sensitivity analysis that's already been conducted, I

152

1 think. Dr. Ozkaynak.

2 DR. OZKAYNAK: Just a quick clarification. Is
3 that both for residue and soil?

4 DR. WAUCHOPE: I think the soil was already 50
5 percent, wasn't it?

6 DR. OZKAYNAK: Right.

7 DR. WAUCHOPE: Using 27 percent for the soil as
8 well.

9 DR. STYBLO: No.

10 DR. DANG: No, we don't.

11 DR. OZKAYNAK: I thought it was 49 percent.

12 DR. WAUCHOPE: Our recommendation involves the
13 dislodgeable residue bioavailability.

14 DR. HEERINGA: Dr. Francis.

15 DR. FRANCIS: Yeah. I know we discussed that.
16 I was sort of part of that. And that sort of makes sense.
17 But given I think I might want to change my answer. But
18 I don't know how given what's been said about the pigs.
19 So I think someone needs to kind of figure this out a
20 little more. Maybe you need to go back to the original

153

1 researches or whatever and determine what exactly went on
2 or try to make some kind of an estimate based on this
3 research on the pigs. I think that really does need to be
4 considered.

5 DR. RIVIERE: Concerning the pig stuff, I gave a
6 reference that's a pretty comprehensive review. The
7 problem is that's assuming that everything is the bound
8 complex. Now if you have a soluble component to that,
9 it's going in two directions. I would think 50 is too
10 high if you're going to have to use available data.

11 Again, you have to do this all the time. Do you
12 take an average between the two of them? If anything, I
13 have a strong feeling that is overestimating at least the
14 absorption from the particulate.

15 DR. WAUCHOPE: Two things. One is that the soil
16 study gave was about a 50 percent bioavailability. And,
17 in fact, I would expect that to be rather high since
18 arsenate, which is the most soluble form, is quite
19 strongly bound by soils. So something went on there.
20 It's kind of an unknown.

154

1 And the other thing. - the comment I was going
2 to make, I just lost track of that. Just a minute. It
3 will come to me.

4 DR. HEERINGA: Any other comments? And Dr.
5 Wauchope, as issues come up again, we do have an
6 opportunity to bring those back.

7 Any additional comments on this question? We
8 will have an opportunity at the conclusion for a wrap-up
9 summary. So as your though processes solidify -- Dr. The,
10 Kissel.

11 DR. KISSEL: Can I just say something by way of
12 background? Dr. Casteel et al. did a bunch of prior
13 studies of lead and arsenic available in mine tailings and
14 things of the type. And undoubtedly, that's why they
15 picked the pig because they were already using the pig for
16 those other things. So I can't say explicitly, but there
17 was a determination made at that time that the pig was a
18 good model for those metals in soils. Perhaps they didn't
19 think about whether that would change when you're dealing
20 with metals in woods in instead of metals in soil.

155

1 DR. HEERINGA: Thank you very much for that
2 comment.

3 At this point in time, we have reached the end
4 of our discussion on Issue No. 9. And we're relatively on
5 our agenda.

6 DR. WAUCHOPE: Oh, I'm sorry. I'm sorry.

7 DR. HEERINGA: No. Absolutely, Dr. Wauchope.

8 DR. WAUCHOPE: My senior moment just clarified.

9 Let's hypothesize that it's arsenate anion.
10 That is the -- we really don't know, do we? Based on
11 everything I've heard, we have no clue what the chemical
12 species are that go from deck to fingers to mouth to gut.

13 We don't know. We have no clue. Certainly, though,
14 based on all the chemistry we know, arsenate is the most
15 probable species that is getting to the gut. And,
16 therefore, the RBA would be a hundred percent for the
17 species. Are you following me, what I'm saying?

18 So to argue that the RBA should be low based on
19 the residue studies, again, is arguing on what we know but
20 we don't know that that material is representative or not.

156

1 Okay.

2 DR. HEERINGA: Thank you very much.

3 At this point in time, I would like to
4 .recommend that we adjourn for a one-hour lunch period.
5 It's 12:10 by my watch. And I'd like to reconvene at
6 1:10. I think the progress is good, and I want to commend
7 the Panel on their preparation, their level of
8 preparation. This is, I think, getting a good discussion
9 and a good foundation on the initial presentations
10 started. And let's reconvene at 1:10, and we will
11 continue with final three issues, Issues 10, 11, and, 12.
12 Thank you.

13 [Lunch recess at 12:10 p.m.;
14 meeting reconvened at 1:15 p.m.]

15 DR. HEERINGA: Thank you, everyone. And welcome
16 back to our final afternoon session of this meeting of the
17 FIFRA Science Advisory Panel.

18 Before we continue with questions 10, 11, and
19 12, it's come to my attention, and I think that Dr. Dang
20 has concurred, that it would benefit us to have a short

157

1 statement, presentation, by individuals involved in the
2 design of some of the bioavailability or at least informed
3 on the design of the bioavailability studies.

4 And I understand that Dr. John Horton is here to
5 make the presentation. Or Dr. Sharma, if you'd like to
6 make the introduction, you may do that.

7 DR. SHARMA; Thank you, Mr. Chairman. thank you
8 for allowing us to add a couple of points of clarification
9 which relate to the bioavailability studies and also
10 specifically to how the dislodgeable material was, in
11 fact, collected. And for that, I'm going to hand over to
12 John Horton to describe that.

13 DR. HEERINGA: Thank you very much.

14 DR. HORTON: I'm John Horton. I'm with Osmose,
15 one of the CCA registrants. And I know there was a lot of
16 discussion early on about the removal process for
17 collection of the dislodgeable material from the surface
18 of the actual treated wood. And while I'm not the
19 researcher who did the actual dislodgeable process -- that
20 was Dr. Pascal Camden of the Michigan State University --

158

1 I do have a of description of the process that may be
2 helpful to further clarify that. And there might be some
3 other possible questions we can field. But we can
4 certainly follow up with more specific information if the
5 Panel feels.

6 DR. HEERINGA: Would you like to read the
7 description?

8 DR. HORTON: Yes, I'd like to read a pretty
9 brief description of the process. And also the collection
10 of the wood decks and where they came from.

11 Basically CCA-treated boards of various
12 commercial dimensions were removed from in-service
13 residential decks. The decks were obtained from two
14 different locations in Michigan, actually the Grand Rapids
15 area, and four locations in Georgia around the greater
16 Atlanta area.

17 And the decks from Grand Rapids that were
18 removed were actually a Ponderosa pine species, the decks
19 that were removed from the Atlanta, Georgia, area were
20 Southern Pine. So we did try to get to two different

159

1 species into the composite sampling mixture.

2 The decks ranged from 1 to 4 years of age. They
3 all consisted though of CCA-type C, which some of you may
4 have heard before, which is the commercial formulation
5 type that is used today and has been for over a decade for
6 the treatment of this material.

7 All the structures were selected and were
8 screened based on the criteria that none of the decks
9 could have had any coatings or any treatments applied
10 during the life of the deck. And we did that obviously
11 with a survey with the owners of the decks. And we wanted
12 to make sure that this was just wood that had been exposed
13 out there in service in actually deck usage without any
14 coatings or sealants applied.

15 Commercial contractors were used to go and
16 dismantle and collect all the boards. And then the boards
17 were shipped to the University of Michigan State for the
18 dislodgeable removal process. All the boards were cut
19 into two-foot sections at Mississippi State. And there
20 was a total when we got done -- actually, we collected

160

1 over 11 decks, 5 from Michigan and 6 from Georgia. And a
2 total of about 1,500 boards sections, two- feet board
3 sections, resulted from cutting this material into
4 two-foot sections for the dislodgeable removal process.

5 To collect the material, each board was placed
6 at about a 45 degree angle over a plastic tub. Each board
7 was then sprayed with approximately 50 millimeters of
8 deionized water. The upper surfaces, weather-exposed
9 surfaces, of the boards were then brushed with a test-tube
10 brush. And I can pass that test-tube brush, the type of
11 brush that was used for this brushing around for you to
12 get a feel that this brush is a very soft, nonabrasive
13 type of brush. So we weren't really trying to remove
14 wood. We were just trying to remove whatever, what we
15 called dislodgeable from the surface of the wood. And if
16 that might be some small particles of wood with it, so be
17 it.

18 And again we started with 50 milliliters of
19 deionized water on the surface. And then the board was
20 brushed about 10 times, one direction, down towards the

161

1 bottom of the slanted area towards the collection tub.

2 All the brushing was performed in the same direction. The
3 wood surface and the brush were then rinsed with
4 approximately 150 milliliters of deionized water.

5 And then after about five boards were rinsed and
6 brushed, the rinsate and the particulate matter in the
7 tub, whatever that might be, was filtered through a glass
8 wool. The filtrate was collected in two-liter flasks.
9 the glass wool was rinsed a second time to ensure that all
10 the fine particles were removed and any large wood fibers
11 that were taken out were removed from the rinsate from the
12 glass wool. Sometimes you could get little some little
13 larger particles that would come off the surface of the
14 wood that were deemed to be more splinters than just
15 dislodgeable material.

16 Then the filtrate was concentrated because we
17 had to, in order to get the material ready to feed to the
18 pigs in this case, Dr. Casteel asked that we deliver a
19 fine, dry material. So the material was concentrated by
20 rotary evaporation at about 46 degrees C. Then the

162

1 material was taken out in sort of a sludgy, moist, wet
2 mass. And then it was just allowed to air dry at about 22
3 degrees C and about 65 degrees humidity level.

4 The dried material resembled a very fine brown
5 colored particulate. The air-dried material was then
6 gamma-radiated. This was to make sure when it was packed
7 into the containers that there wasn't any bacteria in
8 there growing that could ruin the samples. And then the
9 material was shipped overnight courier to University of
10 Missouri Veterinary Medicine Diagnostic Laboratory for use
11 in the swine bioavailability studies.

12 DR. HEERINGA: Thank you very much, Dr. Horton.

13 Since we've had this presentation of protocol for the
14 collection of the residues... Dr. Wauchope.

15 DR. WAUCHOPE: That's very helpful. And I
16 appreciate that. And I think if my colleagues, we'll take
17 out the issue about the thin film forming on the rotavap
18 since they removed materials of wet mass and air-dried it
19 in a tray. And that meant the solubles were in the final
20 product.

163

1 Now, can you tell me how many square feet of
2 board surface were represent by the experiment and how
3 much mass of material did you acquire? Or could you get
4 us that information?

5 DR. HORTON: Well, there was approximately 3,000
6 lineal feet. I think, obviously, this was 2 by 6
7 material. So that would probably represent around 1,500
8 total 58 square feet.

9 Now as far as the total mass of arsenic, we also
10 measured coming off in that, I would have to go get the
11 numbers of the removal and, obviously, get those numbers
12 and calculate that. And we could get back to you on that.

13 But I apologize. I don't have that information at the
14 fingertips.

15 DR. HEERINGA: We understand. But if you could
16 supply that information to the Panel, that would be
17 useful. Supply it to the docket. It will be part of the
18 docket.

19 DR. SHARMA: One additional piece of information
20 that would also help in some of the questions that have

164

1 come up is that we are, in fact, conducting a study which
2 we have worked with EPA in terms of the protocols, to look
3 at the difference in the nature of the material removed by
4 the brush versus the hand of pressure-treated wood. And
5 as part of that analysis, we will be looking into
6 solubility characteristics of that material as well as
7 looking at ratios for the metals that come off brush
8 versus hand. So the study is ongoing right now, and I
9 think it help, go a long way toward some of the questions
10 that have been asked.

11 And the final point really is, we appreciate the
12 comments on the Casteel studies. And we certainly would
13 like to respond to those, through Dr. Casteel himself,
14 just to remind the Panel that this really was a study and
15 a model that was agreed at the last panel meeting that we
16 move away from what was presented before which was the
17 hamster model to this model and that the protocols for use
18 for the Casteel were approved by several agencies
19 including EPA, PMRA, et cetera. So I just wanted to
20 remind the Panel of that. But thank you, again.

165

1 DR. HEERINGA: Thank you very much. Dr.
2 Portier.

3 DR. PORTIER: I just had a clarification. All
4 this dried residue was put in one bag. It's all
5 composited. Right? That's the first thing.

6 The second thing -- so that's an affirmative for
7 the record.

8 And the second thing is all of this was from a
9 worn surface. You didn't take vertical surfaces. This
10 was the deck flooring. And if there were ends that showed
11 no wearing, you removed those?

12 DR. HORTON: Well, again, all the decks were at
13 least 1 to 4 years as far as their weathering exposure.
14 And of course these different climates from Grand Rapids
15 to the Atlanta area. We took all the surface decking,
16 benches, railings that were there.

17 As far as vertical members, there really wasn't
18 a lot of vertical members that we could use for this
19 process simply because most of vertical members at least
20 above the decking were like rounded decorative spindles or

166

1 small 2 by 2s. This was a pretty painstaking process in
2 trying to get enough to feed these pigs because they're
3 pigs, you know. We were told we had to deliver a certain
4 concentration of arsenic in order for Casteel to do this
5 study.

6 And it was a very sizeable, as you can imagine,
7 3,000 lineal feet of material being taken off. We did
8 not, of course, take vertical members such as deck
9 supports, 4 by 4s, or that. But we wanted to take the
10 material that typically a consumer or even a child playing
11 on the deck would be exposed to, the above weathered where
12 they might sit on the bench or grab a hold of the rail and
13 sit on the deck and push off.

14 DR. HEERINGA: Dr. Horton, just a point of
15 clarification. We asked about the nature of the material.

16 This was five-quarter decking or was it 2 by 6 decking?

17 DR. HORTON: The decking material represented
18 some five-quarter material, five-quarter by 6 inch
19 nominal which really comes down to 5.5 inches.

20 DR. HEERINGA: Thank you very much.

167

1 DR. HORTON: It also represented some actual 2
2 by 6 material as there are still some people that like a
3 little thicker decking material.

4 DR. HEERINGA: Dr. Styblo.

5 DR. STYBLO: Just a curious question. Do we
6 have any indication that during the transport fine
7 particles that would be deposited on the horizontal part
8 of the wood would be shaken off during the transport?

9 DR. HORTON: Well, the material that was taken
10 from the decks were cut, I'd say, into approximately
11 four-feet pieces. And then they were put together edge to
12 edge, and then everything was separated with plastic. And
13 then there was foam put between the plastic to pad the
14 process from each layer. So we will built these layers.

15 And I can't remember how many pallets were shipped. And
16 the whole thing was wrapped and covered, protected from
17 any outside environmental.

18 DR. STYBLO: Did you try to collect whatever
19 fell off on the plastic? You stayed said it was wrapped
20 in the plastic. There must have been a lot of material on

168

1 the plastic when it was delivered. I would assume that
2 was the finer parts.

3 See, what we are interested in is what is
4 exactly getting stuck on the hands of children. And finer
5 particles are probably the most likely to be on hands. I
6 just wonder if part of the material could be actually
7 lost.

8 DR. HORTON: I believe that when the material
9 arrived at Michigan State University, when they started
10 removing the materials from the layers, they did inspect
11 the plastic to make sure there wasn't a lot of material on
12 there. But I can't -- I don't think -- I think if I
13 gather what you're asking, I don't believe they vacuumed
14 the plastic in that case to try to deliver any of the dry
15 particles or things the might have been on there. But
16 they did inspect the material to make sure it hadn't been
17 damaged in transit or the surface of the wood hadn't been
18 visibly be scraped or anything where you might expect
19 there was a severe or some type of removal of material
20 from there.

169

1 Now, I know that the RTI study, though, also in
2 the material that they're looking at, they got this
3 material from desks locally. And that has been taken very
4 carefully, of taking it right to the lab there. And,
5 again, I would assume -- well, I know the way the work
6 that RTI does. They're very painstaking. Obviously, when
7 they look at this hand-to-wet-brush procedure removal, I
8 will get them to comment on their transport method and see
9 if they looked at this issue that you brought up.

10 DR. HEERINGA: Dr. Kissel.

11 DR. KISSEL: We were given a report from
12 Battelle Columbus, Chemical Characteristics and Morphology
13 of Particulate in Dislodgeable Residue, which appears to
14 be discussion of the same material you're talking about.
15 On page 2 it says that the top and bottom faces of boards
16 were processed at different times and particulate water
17 was kept as separate stocks. And there's a citation of
18 Gradient. And if you go in the back, it turns out to be
19 personal communication between Gradient and Battelle. But
20 that suggests that there were two samples and not one

170

1 sample. Could you clarify that?

2 DR. HORTON: Yes. Again, when we did the
3 procedure for removal, we did take off the top. And then
4 after we took off the top, it was decided to go from the
5 unexposed bottom side that didn't get direct weathering.
6 And we did also remove that material as well. And it was
7 also asked that the material for the bioavailability and
8 the characterization of the complex work as well as the
9 Battelle work that all comes from the top weathered
10 surface the humans or animals would inhabit and not
11 underneath because a lot of these desks were two feet off
12 the ground.

13 And a lot of them where you couldn't get
14 underneath the deck. So we felt like we wanted to have
15 the material that the weather would degrade the wood or
16 whatever the complex on the surface as well as what would
17 have direct exposure to humans that might inhabit or are
18 doing activities on the deck.

19 DR. KISSEL: That's fine and makes sense. I
20 just wanted to clarify that when you said it's a composite

171

1 sample, it's a composite sample from the tops of the
2 boards and not a composite sample of the top and bottom
3 surface.

4 DR. HORTON: Yes, sir. This sample is a
5 composite sample of only the tops of the boards. We have
6 run solubility studies just in-house of the tops and the
7 bottoms and find the solubilities of the metals. I'm just
8 speaking about water solubility no other extraction to be
9 the same. And the metal complexes, at least from our
10 internal reports, are showing the typically the metals for
11 just the water solubility test is typically 95 insoluble
12 as opposed to 2 to 3 percent or 4 percent soluble.

13 DR. HEERINGA: Dr. Portier.

14 DR. PORTIER: You mentioned the CCA-C has been
15 available for about a decade. Do you know exactly when it
16 came in? It's important because the next question we're
17 going to be looking at a study from 1993 and a study from
18 last, and I'm wondering if we're looking at the same thing
19 because it's a commercial product. And we know they
20 change over time.

172

1 DR. HORTON: And I've been reminded by one of my
2 colleagues that that's pretty much a too short of a time
3 frame. As a matter of fact, when I came to work with
4 Osmose in 1974, they were already on the Type C
5 concentrate away from what was the Type B at that time.
6 Actually from the mid 70s, you're looking at over 25
7 years. I apologize for my...

8 DR. SHARMA: I think the 1993 study is arsenic
9 in soil versus CCA in soil.

10 DR. HEERINGA: Yes. Thank you, Dr. Sharma.

11 Any other questions or comments? Thank you very
12 much for the clarification on that protocol, Dr. Sharma.
13 Thank you to Dr. Dang and the EPA staff for allowing that
14 clarification, too, in terms of our meeting protocol.

15 At this point in time, I'd like to turn to the
16 Issue 10 that have been presented to the panel and ask if
17 Dr. Dang would, please, read the question to the Panel.

18 DR. DANG: Issue 10: In the 2001 SAP meeting,
19 the Panel cited the research of Wester et al. (1993) as a
20 source of the dermal absorption rate of soluble arsenic in

1 water and soil. The Panel recommended using a 2 to 3
2 percent dermal absorption rate for arsenic residue on the
3 surface of wood. Recently, a preliminary study by Wester
4 et al. (2003) has been submitted by the same laboratory
5 compares the dermal absorption of arsenic in CCA-treated
6 wood surface residues with arsenic in water solution.

7 Although the Agency has not received the
8 complete results of this study, e.g., the recovery of the
9 arsenic in the urine of the animal given IV sodium of
10 arsenic, the preliminary results of this study indicate
11 that the dermal absorption of 0.01 percent from wood
12 surface residue was approximately two orders of magnitude
13 lower than the results in water. The dermal absorption
14 from this study was based on urinary arsenic data
15 following application of arsenic in CCA-treated wood
16 residue that had been weathered by the environment.

17 Question A: Taking into consideration the Nico
18 et al. study mentioned in Issue 8, the Panel is requested
19 to comment on whether this new study conducted by Wester
20 et al. provides a more appropriate estimate of dermal

174

1 absorption from contact with CCA-treated wood surfaces
2 than the earlier 1993 Wester et al. study.

3 DR. HEERINGA: Dr. Kissel is the lead discussant
4 on this particular question.

5 DR. KISSEL: And the associate discussants are
6 Jim Riviere and Michael Bates.

7 The intro to this question has been pretty well
8 covered by the responses to Questions 8 and 9. On initial
9 look, it is certainly plausible that if you have binding
10 to lignin that bioavailability would be reduced. There
11 are some questions about that per the prior discussion
12 about how to interpret the XAS data and what the
13 environmental data suggesting different arsenic and
14 chromium ratios means.

15 And I would also point out something that wasn't
16 mentioned previously is that yesterday Exponent showed us
17 some data the was sweat extraction of three media, two of
18 which were weathered CCA-treated soils and one of which
19 was this ACCR that was so-designated by the previous
20 discussants. And the sweat extraction actually generated

175

1 more arsenic from the ACCR than it did from either of the
2 weathered soils. So whatever binding there is, there's at
3 least some suggestion that it's not absolute and complete
4 and that there is an available fraction there, which could
5 color any interpretation of both oral availability and
6 dermal availability.

7 And then the final question from the previous
8 discussion was whether the stuff that was brushed off the
9 wood is the same as what would come off on hands and
10 that's been discussed already.

11 So the question becomes given the it's plausible
12 that there's reduced bioavailability, can the Wester et
13 al. data be used to provide a quantitative estimate of
14 that reduction. Superficially, the results were 2.8
15 percent absorption as a mean three animals for soluble
16 arsenic in water, in small amounts of water; and the water
17 is distributed on the skin in amounts that wouldn't run
18 off and would either evaporate or be absorbed and
19 nominally zero percent from the CCA-treated wood residue.

20 And it's been cited a couple places, I think both in the

176

1 presentation that we got from Exponent and perhaps in
2 EPA's material as well, that the soluble results are
3 basically the same as the prior result of the 1993 study
4 where the low dose and high dose numbers, or high dose and
5 low dose numbers, were 2 and 6.4 percent bioavailability
6 were estimated in that study. And the current number, 2.8
7 percent, seems to fall in that range.

8 I would point out that the 2 and 6.4 percent
9 numbers were 24-hour exposure numbers and the 2.8 number,
10 the most recent one, is an 8-hour exposure. So for
11 comparability purposes, that 2.8, the most recent numbers,
12 should be multiplied by three and give something over 8
13 percent which is still not statistically different than 2
14 and the 6.4 percent, but it's more at the high end of the
15 previous range rather at the low end of the previous
16 range.

17 And then reduced bioavailability was observed
18 for wood residue. And the presumption is that the method
19 is the same. And if the soluble results are legitimate,
20 then the wood residue results are also legitimate. There

177

1 are potential problems there with respect to experimental
2 technique in general. And I wanted to make sure that I
3 say this in a logical order.

4 There are three aspects of the experiments that
5 we have some difficulty with. The first I would call
6 generic experimental problems of which there are two. One
7 is that the sample size is very small, N of 3, which gives
8 you very little power to actually detect affects. In
9 fact, I did the straight forward kind of naive statistical
10 analysis, and the variability and the results are such
11 that you can't distinguish even the first day from the
12 background number because the variability over the three
13 monkeys are so high. And with an N of 3, the T-value gets
14 to be quite large. And so doing statistical difference
15 testing becomes quite difficult.

16 Now I acknowledge that Exponent has done the
17 statistics in a different way. And although we haven't
18 seen the details, they do assert that there is a
19 statistically significant difference. And there might
20 very well be. It still bothers me somewhat that the

178

1 straight forward approach doesn't produce statistically
2 significant result for either the water soluble data or
3 the CCA-residue data. And it bothers Jim that it's N of 3
4 at all on just a sort of a general philosophical grounds.

5 So we have a problem with sample size.

6 The second at aspect of these experiments which
7 is problematical is that because it was done in primates,
8 it was done in vivo for the reasons that people generally
9 like to do in vivo experiments. But if you do in vivo on
10 primates, you can't sacrifice the animal and so you can't
11 do a mass balance. And in the absence of a mass balance,
12 you don't really know what happened in the experiment. So
13 there are some -- that's just a fundamental weakness of
14 this particular set experiments.

15 The other two pieces are the contact scenario
16 and pharmacokinetics. The contact scenario -- and this is
17 I feel like sometimes I'm becoming the designated national
18 crank on this particular issue. But this general
19 prejudice and I'm hearing that no one is willing to say
20 this in public, so I'm going to say it in public.

179

1 There is a general prejudice among toxicologist
2 that do work in vivo because it avoids the artificiality
3 of in vitro testing; however, dermal application of
4 granular media is very difficult to do in vivo. You can't
5 instruct an animal what to do. So you have to protect the
6 site somehow. You can't allow the animal to lick the site
7 or rub the site or scratch the site. And so you wind up
8 inevitably with an artificial contact scenario.

9 So you substitute the artificiality of the of
10 the in vivo limitations for the artificiality of the
11 contact scenario. And it's just my personal prejudice
12 that dermal exposure scenarios should be conducted in vivo
13 and in humans where you can tell them what to do and
14 should not be done in vivo in surrogate species because of
15 difficulties.

16 Now I do acknowledge that the Exponent folks
17 seemed to be at least partially aware of these issues and
18 worked very hard to try to ensure contact of the material
19 with the skin. I think Jim is satisfied that they did a
20 good job. I am mostly satisfied. Although a hundred

180

1 square centimeters turns out to be a very language
2 fraction of an abdomen of a monkey. And it's very hard to
3 actually tape things down to create 100 square centimeters
4 on a monkey without involving the pelvic bones and the rib
5 cage, in which case you could potentially get air gaps
6 between material and the skin.

7 And, generally, when you're doing dermal
8 absorption work or transfer from an external media to
9 skin, there are three real ways that stuff can get out of
10 granular media into the skin. One is direct contact. If
11 the agent is on the material on a surface which is
12 immediately proximate to the skin, then you can have
13 direct transfer from the material to the skin.

14 One is diffusion in a liquid phase. If there is
15 sweat or water or something in there, then the material
16 can diffuse. The third way is diffusion in the vapor
17 phase. But for compounds which have negligible vapor
18 pressures, that third phase is not actually in affect. So
19 any air gap would be essentially a complete barrier to
20 transfer of inorganic arsenic from material to the skin.

181

1 Solid phase diffusion is not on my list because it occurs
2 at rates which are much too slow for residence times in
3 these experiments and for real exposures to granular media
4 on skin so it becomes irrelevant.

5 You have to be very sure that you have not
6 created an artificial air gap between the media and the
7 skin, or else you will essentially have no transfer. And
8 while I would say that they did everything they could to
9 avoid that issue, short of having microfiber cameras under
10 the bandage to see what was going on there, I don't know
11 that you absolutely know that you have avoided such a
12 problem.

13 Okay. A second issue related to the contact
14 scenario is the notion of monolayer. And I keep having to
15 give this speech also. The standard in dermal absorption
16 world is the expressed stuff is percent absorbed. Now, if
17 you can envision -- and I will try to do this without any
18 graphics. Coverage of skin by graphical materials occurs
19 in stages and can be complete or incomplete. And if you
20 have a small amount of stuff and a very incomplete

182

1 coverage of the surface, you will have some amount of flux
2 associated with that degree of coverage. You would
3 anticipate as surface involvement increases, that flux
4 will increase to some point until you have complete
5 effective coverage.

6 Now because of lateral diffusion from particles,
7 effective coverage may be short of visual coverage. You
8 may not need complete visual coverage of the skin to have
9 effective complete monolayer coverage of the skin. But
10 there is some transition there from incomplete coverage
11 which gives you low flux or lower flux, to complete
12 coverage which would give you maximal flux.

13 Then as you go above maximal flux, you pile on
14 more and more material on the skin, the flux does not
15 increase. And so flux, which if you express flux as a
16 percentage of the total amount of material which is the
17 ostensibly added, the percent absorption can be
18 decreased artificially by simply piling a lot of stuff on
19 the skin. And that's been in EPA documents for quite a
20 while.

183

1 The original '92 dermal document has a
2 correction which is not written in the absolute best way.

3 But it's expressed in a way that people who are cognizant
4 of these issues should no longer be doing experiments that
5 are not monolayer or should no longer be doing experiments
6 from which the results are presented as percent absorbed.

7 They should be presented at flux numbers. Now, if the
8 number is zero, it doesn't matter which of those things
9 you have. To the extent reporting the raw result does
10 not make too much difference. But it does make a
11 difference when you start talking about detect limit.

12 Now the Wester et al. work was done at 4
13 milligrams per square centimeter on the basis that from an
14 EPA document that 5.4 milligrams per square centimeter
15 would give you monolayer coverage for a relatively fine
16 soil. There are two things wrong with that argument. The
17 first is that the -- the way EPA estimated those
18 monolayers was not particularly careful. They used an
19 average particle diameter for a given size class rather
20 than a median or perhaps a 25th percentile. When it comes

184

1 to skin coverage, it's the small stuff which actually
2 makes the difference and not the big stuff. And you can
3 easily have -- if you had a reasonably wide distribution
4 of particular sizes, you could easily have in 5 milligrams
5 per square centimeter of loading, you could have 1
6 milligram per square centimeter of stuff which actually
7 provided complete coverage and the other 4.4 milligrams
8 was simply excess material.

9 The estimates are based upon face-centered
10 spheres which is an idealization. But you can run the
11 numbers yourselves, and the estimating techniques are
12 published. And small particles can cover a fair amount of
13 surface with not much mass because their volumes are quite
14 low

15 The second piece of that is that there is a
16 density dependence. The mass of the material you have
17 depends on the density of the material. And the EPA
18 estimate is based upon a typical sand density which is
19 used by people that are doing soil work which is 2.65
20 grams per centimeter cubed. We know from prior testimony

185

1 that the material is more likely to be wood than soil,
2 that this ACCR is mostly wood which should have a density
3 much less than soil. And therefore the mass that you
4 would require for the same size particles, the mass that
5 you would have that would be required for coverage would
6 be much less for woody material than it would be for soil.

7 So my kind of rough guess at what a monolayer of
8 coverage would be for this experiment would have been more
9 like 1 milligram per square centimeter than 4 milligram
10 per square centimeter. And that there was probably 2 to 4
11 layers of material on the monkey.

12 And we actually saw some visual evidence of that
13 in that we saw pictures of the Tegaderm patch peeled back
14 and the Tegaderm patch was covered with material and the
15 skin was still covered with material. So we know there
16 were at least two layers of material, which means that any
17 percent absorption that is reported should be multiplied
18 by at least 2 and perhaps by as much as 4 to get an
19 estimate of what the --

20 Now in this case, the number that we're

186

1 multiplying is zero so we still get zero. But it means
2 that the detection limit is 2 to 4 times larger than would
3 be reported if you didn't make this correction.

4 And that two-to-four-times correction number to
5 translate to something that looks like the EPA number, you
6 should multiply by 2 to 4 for the layering effect and then
7 multiply by 3 for the fact that the EPA number's a 24-hour
8 number. And these were 8-hour experiments. Basically,
9 whatever the detection limit in these studies was would
10 have to be multiplied by a factor of 10 to give you of a
11 conservative estimate. If you assumed otherwise that
12 everything with these experiments was okay, you have to
13 multiply by about a factor of 10 to get a number that you
14 could then use as a bound that was actually observed.

15 The final piece of that is the pharmacokinetics.

16 These numbers were like the prior numbers adjusted by the
17 urinary response observed following intravenous injection
18 of soluble arsenic. And we have grave doubts that that is
19 a good indicator of how material which wasn't soluble
20 arsenic to start with and was applied dermally as applied

187

1 intravenously, would behave.

2 There's lots of opportunity for material which
3 is not soluble arsenic to behave differently if absorbed
4 through the skin and to be transported differently. And
5 we think that the overall adjustment by virtue of
6 intravenous response is simply invalid or unsupported by
7 anything other than wishful thinking. And, therefore,
8 leaves the results to be somewhat uninterpretable.

9 So the overall conclusion is that we can't get
10 any quantitative estimate of dermal absorption from the
11 Wester at al. study. And, therefore, there's no grounds
12 for adjusting the current EPA number, which, as I noted
13 already, is closer to the low end of apparent availability
14 of soluble arsenic dermally as opposed to the high end.

15 DR. HEERINGA: Thank you, Dr. Kissel. Any of
16 the associate discussants, would they like an opportunity
17 to either clarify points that Dr. Kissel's made or add to
18 the discussion? Dr. Riviere.

19 DR. RIVIERE: I pretty much agree. As it's
20 obvious, the loading and the monolayer aspect is

188

1 questionable how much was on there. But the problem of
2 expressing everything as percent dose in this line is that
3 if there's more there than is actually biologically
4 available, then whatever number adjusted is over
5 estimating it.

6 The big concern I had is philosophical and that
7 is using an N of 3 for such an pivotal estimate. There's
8 just too much variability. You already have the problem
9 of it being not human. It's being an animal model. It's
10 a decent animal model for adult human skin. That's been
11 shown. But that's a very, very small number to base any
12 statistics on at all.

13 And finally, I agree completely on the
14 correction factor goes into the Casteel study on the in
15 vivo pigs also. We don't know what's being absorbed. And
16 if it's another species of arsenic, that's not necessary
17 that that's going to be excreted in the urine which
18 basically invalidates using the urine as the only way to
19 determine absorption.

20 DR. HEERINGA: Any other comments or additions

189

1 from the Panel members on this question?

2 Dr. Dang, if this was satisfactory in terms of
3 the response.

4 DR. DANG: Yes, thank you,

5 DR. HEERINGA: Completeness of the response.

6 At this point, let's move on to issue No. 11.

7 Before we read this question, I think that with
8 regard to the biomonitoring study I'll say in advance that
9 if there are questions of fact regarding design of the
10 biomonitoring study, that Dr. Floyd, Dr. Floyd Frost,
11 presented yesterday that Dr. Beck and I think Dr. Sharma
12 are here and could address questions of fact regarding the
13 design of that studies just as we had clarifications
14 previously on the design of the residue extraction.

15 So with that note, Dr. Dang, if you would,
16 please, read the question.

17 DR. DANG: Issue 11: In the 2001 SAP meeting,
18 the Panel recommended that a biomonitoring study be
19 performed on children who are normally exposed to
20 CCA-treated playground equipment and decks. The Panel

190

1 recommended that the study should be designed according to
2 well-accepted epidemiological principles, including
3 adequate sample size, to resolve the issue of whether
4 there are substantial exposures to children from arsenic
5 residues after playing on decks and playsets.

6 The Panel indicated data from such a
7 biomonitoring study could be directly used in the risk
8 assessment and could be used to validate the exposure
9 assessment model. Recently, a proposed protocol for a
10 pilot study was submitted to OPP for peer review.

11 I'd just like to stop for one second to make a
12 qualification here. Yesterday, we have a public comment
13 some comment mentioned about EPA endorse and also
14 cosponsor. EPA wishes to point out that the federal
15 government is not a joint sponsor of the proposed
16 biomonitoring pilot study. Moreover, EPA is not requiring
17 the industry to conduct this study. For EPA has reviewed
18 the protocol from the proposed pilot study and has
19 provided the sponsors of this Prower with preliminary
20 comments.

191

1 EPA has not endorsed the proposed protocol or
2 any alternative protocol because EPA sees many significant
3 scientific issues raised by the proposed biomonitoring
4 protocol and because it appear responsive at least in part
5 of the earlier SAP recommendations.

6 Let me continue on Issue 11.

7 DR. HEERINGA: Certainly.

8 DR. DANG: This proposed protocol is an attempt
9 to determine if changes in exposure to arsenic can be
10 assessed by examining changes in the urinary excretion of
11 arsenic. EPA has provided the Panel with a copy of the
12 proposed protocol for the pilot study. In summary, the
13 proposed pilot study will determine whether a significant
14 difference in urinary arsenic can be discerned when a
15 population of children are switched from
16 arsenic-containing tap water to an essentially
17 arsenic-free source of drinking water.

18 Question A: The Panel is requested to comment
19 on the strengths and limitations of the approach to be
20 employed in the proposed pilot study to help resolve the

192

1 issue of whether there are substantial exposures to
2 children from arsenic residues after playing on decks and
3 playsets. In particular, please comment on the
4 feasibility, the potential confounding background sources
5 from the statistical analysis, the sensitivity and
6 accuracy of analytical method for quantitation of arsenic
7 in urine to detect changes, the determination of
8 intraindividual variation and interindividual variation
9 based on the current knowledge of exposure, and any other
10 aspects of the proposed pilot study that might affect its
11 utility.

12 DR. HEERINGA: Thank you are very much Dr. Dang.

13 And Dr. Ryan is the lead discussant on this particular
14 question.

15 DR. RYAN: Yes. I'd just like to acknowledge as
16 well my coconspirators if you will in this particular
17 review. Dr. Bates, Dr. Steinberg who has already left for
18 the day, and Dr. Styblo.

19 Just to put us all on the same page I'd like to
20 take a few sentences just to summarize what this proposal

193

1 entails. The proposed pilot study which I will refer to
2 as the pilot study throughout this will investigate the
3 effect of elimination of the intake of arsenic-containing
4 drinking water on the total urinary arsenic concentration
5 in a group of young children. The pilot study will take
6 place in Albuquerque, New Mexico, a location with modestly
7 elevated levels of arsenic in drinking water reported to
8 being approximately 15 micrograms per liter if I remember
9 correctly.

10 Using an expected intake of about a half a liter
11 per day for these children of municipal water sources, the
12 expected intake of arsenic from drinking water is
13 approximately its potential intake experienced through the
14 contact with CCA-treated wood products given as several
15 micrograms per day. It's hypothesized if the differences
16 in urinary arsenic can be seen in the drinking-water-based
17 approach, then it's feasible that such an approach can be
18 used in assessing CCA-related dose and dose differences
19 experienced in mitigation strategies.

20 I'm going to now present the critique. Again,

194

1 as Dr. MacIntosh pointed out at the beginning of the day
2 where this group is near the end of the set of
3 presentations, so we've had an opportunity to meet several
4 times, iterate through a couple of written iterations of
5 our critique and so on. So we might be a little bit
6 further along in our critique than others might be.

7 The study as designed offers little insight into
8 the exposure and dose relationships expected for children
9 who come in with CCA-treated wood. And is, therefore, not
10 responsive to the SAP request from 2001. It's not a pilot
11 study, but rather a preliminary investigation in which
12 somewhat relevant data may be collected. Specifically,
13 the effects of mitigating arsenic dose through reduced
14 exposure to CCA-containing materials will be inferred from
15 the reduction of drinking water intake of arsenic.

16 Arsenic found in drinking water is almost
17 exclusively inorganic arsenic. While arsenic exposure
18 from CCA-treated wood s product and potential
19 contamination associated with such products consist of a
20 complex mixture of CCA, CCA-wood complexes inorganic and

perhaps organic species bound to soil and other forms.

It's unlikely that all of the forms discussed above will be equally eliminate via the urinary pathway and it is nearly certain that they will not be eliminated in the same manner and at the same rate as arsenic ingested in drinking water.

Other elimination pathways that might correspond to metabolic loss of arsenic, for example, the biliary pathway, would not be included. The hypothesized decrease in total urinary arsenic after the, quote, "wash out," unquote, period, may well reflect a this component of exposure related to the consumption of arsenic contaminated drinking water.

However, the reason behind focusing on this approach is not clear. For example, with the absence of a decrease in excreted the urinary arsenic suggests that the CCA component of the exposure is more significant than that associated with drinking water.

In an ideal approach, a pilot study that is aimed at examining exposure to arsenic from CCA-treated

1 wood, would be carried out in populations that are not
2 concomitantly exposed to arsenic from other environment
3 sources. One would strive to control intake of all
4 arsenic containing foods, for example, rice, grapes, and
5 grains, in an effort to assess the impact of reduction of
6 arsenic intake through reduced contact with CCA-containing
7 material.

8 This might be modeled in the manner suggested in
9 the proposal through the removal of arsenic containing
10 water from the diet. However, this is still artificial in
11 that the arsenic intake through drinking water in no way
12 mimics the intake through contact with CCA-containing wood
13 products.

14 The discussion above brings into question
15 utility of the study as designed. Consider two similar
16 questions, if the pilot study works, that is a reduction
17 in urinary arsenic levels is measured, what information of
18 use or relevance to the CCA-based exposure would be
19 obtained. It is our belief that little useful knowledge
20 will be gained from this.

197

1 On the other hand, if the pilot does not work,
2 which I mean no reduction of urinary arsenic levels can be
3 ascertained, what implications can be made about the
4 CCA-based arsenic exposure. It is our belief that
5 extrapolation of the results CCA-related arsenic exposures
6 in tenuous at best.

7 Our conclusions and recommendation can be
8 summarized as follows. Our assessment as it stands is not
9 responsive to the SAP 2001 request. It is more
10 appropriately a preliminary study in which data of some
11 potential utility may be gathered but which in no way
12 assesses exposes or doses likely to be experienced by the
13 target group, namely children, coming into contact with
14 CCA-treated wood products.

15 The study as presented is flawed in many ways.
16 We have listed below a series of major flaws followed by a
17 longer list of minor flaws that should be assessed prior
18 to implementation. We believe that if implemented as
19 planned, results are unlikely to be reliable or meaningful
20 and we question whether it could be carried out

198

1 successfully to address the goals mentioned.

2 It is our recommendation that the pilot slash
3 preliminary study, now I'm combining the two because we
4 now believe this to be more of a preliminary
5 investigation, should be discussed by all potential
6 stakeholders. this includes the public, EPA and industry
7 in refashioned to be more responsive to all needs. After
8 receiving input from these three groups, a new study may
9 be implemented that provides information useful to all
10 parties and reflective of the need to understand exposure
11 through this specific pathway.

12 We further recommend that funding for both a
13 pilot study and the full study be identified and that a
14 mechanism be developed to solicit proposals for work
15 collecting real data on CCA-related exposures. The
16 willingness of Drs. Sharma, Beck, Peterson, Chassian, and
17 Frost, et al., to entertain outside peer review in this
18 matter is encouraging as each will be involved in various
19 components of the study. With more peer review including
20 involvement of EPA's SHEDS-Wood personnel, a redesigned

199

1 biomonitoring study could be an excellent source of
2 information to improve the SHEDS model.

3 We have a series of more detailed comments here
4 which we divide into sort of major comments associated
5 with the investigation and minor issues. For the record,
6 I will not speak about the minor comments at all. But
7 they will be part of our written comments and should be
8 alluded to in this record, I. Will touch upon briefly the
9 major comments that we made in major more detail comments.

10 These come under the general headings of design
11 and statistical and quality assurance design, statistical
12 and quality assurance issues, IRB-related issues,
13 confounding factors, and analysis of arsenic itself.

14 First, the design and statistical and quality
15 assurance issues. We question whether the report or
16 whether the report that would come out of this study would
17 address the feasibility of confirming or producing a main
18 study for this overall report. Secondly, the pilot
19 studies to be done in Albuquerque, New Mexico, accepted by
20 the admission of Dr. Frost is probably not a good choice

200

1 for the large scale investigation. We would prefer to see
2 a pilot level investigation in a place where we're likely
3 to see the main study being done.

4 I have a series of bullet points regarding
5 subject recruitment and sample size, mostly focusing on
6 the numbers of samples to be taken, the 40 children, 5
7 duplicate-type samples, multiple recruits in the same
8 family and so on. We have some concerns about the details
9 of those issues.

10 Another design and statistical issue is knowing
11 something about the temporal variability of arsenic in
12 drinking water in Albuquerque. No data were presented on
13 that, yet it was assumed that a single sample of water
14 taken from the tap on the first visit would be sufficient
15 to characterize the arsenic in drinking water at the
16 beginning of the study. It would be more beneficial if we
17 could find out more about the quality assurance samples
18 that should be taken in this investigation, the number of
19 blanks, the number of replicates, and so on that might be
20 necessary.

201

1 And a detailed issue but one the is still
2 important is they plan on maintaining records for a period
3 of one year after the end of the pilot level
4 investigation. I don't believe this is adequate, and I
5 believe EPA would require more.

6 We have a number of different bullets on
7 IRB-related issues. It appears that this is a major
8 problem. And IRB-related issues are becoming more and
9 more of interest in these types of investigations. First
10 off, the present form of informed consent and ethical
11 structure of the pilot is suboptimal. We have a couple of
12 points on that.

13 Families familiar are being asked to provide a
14 relatively small number of urine samples, four, five, six,
15 something on that order, fill out a questionnaire a few
16 times, and submit to a series of five or so household
17 visits that will likely be less than 30 minutes in
18 duration. The incentive offered for this is relatively
19 and might be reviewed as coercive by some IRBs.

20 We saw no recognition of HIPA compliance rules.

202

1 This is something that's going to have to be addressed.
2 One should consider developing an outside agency or an
3 outside IRB consultant, perhaps somebody from the
4 community or perhaps a community member on the board. For
5 their field technician should be subject to IRB
6 certification and so on. There are several other points
7 under this area.

8 Under confounding factors, we feel that there
9 has been poor control for confounding factors in this
10 investigation. Other aspects of diet other than seafood
11 are probably important in this particular area. We
12 mention several of these. In particular, we're concerned
13 about the specific diet that might be had by people living
14 in the Albuquerque area. Rice is probably a major
15 component of the diet, and it might be subject to arsenic
16 contamination or high levels of arsenic naturally
17 occurring in the rice. How is this going to be controlled
18 and what affect is this likely to have?

19 It's also not clear in this confounding factor
20 general picture. It's also not clear that the washout

203

1 periods the are alluded to here are sufficient to remove
2 the body burden of arsenic the might already be in an
3 individual. I've done some preliminary calculations that
4 would suggest about two-thirds of the arsenic present
5 initially would be washed out. This could leave a very
6 large amount of arsenic still in the system certainly
7 after the five days especially if a fish meal eaten before
8 that.

9 Analysis of arsenic, this is a significant part
10 of the investigation; and it bears some more concern. We
11 already mentioned the tap-water-related issue. The
12 specific analysis of arsenic in urine is not completely
13 sufficient. There was general acceptance among the group
14 that a large degree of speciation would be, appropriate.
15 That we'd like to see speciation of the various MMA
16 species and the DMA species and indeed also look at some
17 of the more complicated compounds, the arsenosurgars,
18 arsenocholine and arsenobetain, for instance, to make sure
19 the we are indeed controlling for seafood consumption.

20 There are several points regarding the use of

204

1 the analytical chemistry in the presence of analytical
2 chemists from the beginning of the investigation. We
3 think that's important so that the collection of samples
4 will be done in the most expedient and appropriate manner.

5 There are a series of minor issues, as I pointed
6 out. We have literally dozens of these small things that
7 we considered to the not actually crucial to the
8 investigation; but it would be better overall if indeed
9 these were followed up as well.

10 So going back once again to the conclusions that
11 we present here, I just want to reiterate that. We think
12 that the best way to go about doing this would be to
13 develop a panel to put together an RFA for this program,
14 generate the funding in some fashion, and go out there and
15 get some proposals from a number different individuals and
16 see a number of different ideas to go forward with the
17 rest of the investigation. I would ask my associate
18 members on the panel to add their comments at this time.

19 DR. HEERINGA: Dr. Bates, do you have anything
20 to add?

205

1 DR. BATES: Well, Barry has covered it fairly
2 thoroughly. But just a few things that I would like to
3 add.

4 As he mentioned, we determined at a very early
5 state it wasn't a true pilot study and so we regard it as
6 a preliminary study. And I looked at the specific aims,
7 and the question became after we decided it was a
8 preliminary study as to what utility did this have in
9 terms of the proposed biomonitoring study, the ultimate
10 study. So I looked at the specific aims, sit out on the
11 pilot or preliminary study, just to see how relevant they
12 may be. And I thought I would just run through them quite
13 quickly and just for the record to address them.

14 The first specific aim was to determine an
15 effective method for recruiting young subjects and their
16 families into a urine biomonitoring study. But as I think
17 I said in an earlier session, when you do a pilot testing
18 of a recruitment process, you need to do it in the actual
19 area because demographic areas vary between different
20 areas. What works in one area may not work in another.

206

1 The second specific aim set out was to compare
2 urinary arsenic levels during exposure to tap water
3 containing arsenic, e.g., 15 micrograms per liter, with
4 urinary arsenic levels after exposure to essentially
5 arsenic-free bottled water. It's unclear to us how this
6 could be relevant given that it appears that there is a
7 different arsenic species that young children are exposed
8 to from CCA-treated wood.

9 The third one, assess the value of arsenic
10 speciation analysis in explaining variability and urinary
11 arsenic levels. It was not really clear how they intended
12 to do that. how would speciation explain variation in
13 urinary arsenic levels. There was no discussion of that
14 that I can recall.

15 The third one was to assess whether 5-to-10-day
16 period is sufficient to allow for substantial elimination
17 of the body burden of arsenic resulting from chronic
18 low-level exposures. Quite apart from the issue of the
19 different species, there is the question of whether the
20 washout period may in any case be concentration dependent.

1 And there is some evidence present in the report that in
2 fact elimination is triphasic. And it may also be
3 concentration dependent. And the exposures from the
4 CCA-treated wood are likely to be quite a lot lower than
5 were in Albuquerque.

6 The fifth one was to develop estimates of
7 interindividual and intraindividual variability in urinary
8 arsenic levels. Use these data to refine power
9 calculations to determine necessary sample size for the
10 main study. Now, given that the levels of exposure in the
11 study are so much different to what we might expect in a
12 proper CCA-biomonitoring study, it's difficult to see how
13 these results could be used for power calculations.

14 And the sixth specific aim was to determine
15 based on the results of the first five aims, that I've
16 just discussed, whether the main study is feasible; and if
17 so, the optimal study design. And I think given apparent
18 irrelevance of the first five specific aims, it's
19 difficult to say that no relevant judgments can be made
20 from them regarding the sort of biomonitoring study I

208

1 think we're looking for.

2 That's probably about it. Just to mention also,
3 I thought the questionnaire had some problems. And some
4 of the questions seemed to be addressing, asking the
5 parent about their behavior, their exposure rather than
6 the child. But that's more in the nature of one of the
7 minor comments.

8 DR. HEERINGA: Dr. Styblo.

9 DR. STYBLO: Well, I think the previous comments
10 were very exhaustive. So I have just one or two short
11 comments.

12 I'm not sure there's an analytical chemist on
13 the Panel on the team at this moment. Whether we realize
14 it or not, the analytical data are a significant part of
15 information we base our exposure estimates, and
16 consequently risk evaluation on. And we seem to kind of
17 forget this fact. We need good analytical labs and
18 experts being on the team for similar studies from the
19 very beginning, collecting samples, and submitting them to
20 the lab. And then realizing there is a problem, it may be

209

1 too late.

2 Speciation of arsenic today a relatively routine
3 method. However speciation of oxidation states for
4 methylated arsenic species which has a huge toxicological
5 implications is more tricker, trickier, and requires
6 special attention and well established method in labs.

7 And I guess that's the major comment I had.

8 DR. HEERINGA: Thank you, Dr. Styblo. Yes, Dr.
9 Wauchope.

10 DR. WAUCHOPE: I'm probably the least qualified
11 person in this room to ask this question. But I have a
12 little problem with what this issue group is saying in
13 that they're saying there's a poor comparability to
14 between doing a biomonitoring study on inorganic soluble
15 arsenic versus what's in dislodgeable residues. But I
16 think what we've said earlier is that probably this
17 complex has been identified dislodgeable, residues is
18 probably not be active species in uptake. And I still
19 think that the most likely candidate for childhood
20 exposure is inorganic arsenic 5 and possibly 3. So it

210

1 seems like we're a little too strong a rejection of
2 arsenic biomonitoring as to its usefulness.

3 DR. HEERINGA: Dr. Ryan.

4 DR. RYAN: I don't think there was any statement
5 we made that would suggest that biomonitoring wasn't a
6 good technique. What we were criticizing was using this
7 particular mechanism of trying get at the biomarker for
8 CCA-related exposure using the drinking water target. It
9 just seemed inappropriate to us. We're strongly in favor
10 of a biomonitoring study. We just don't think this is the
11 right one.

12 DR. HEERINGA: Dr. Portier.

13 DR. PORTIER: I'd like to address three
14 statistical issues I think that are problems with this and
15 they've been alluded to. One is the power calculation.
16 The proposal references the work of Calderon and Wyatt.
17 And from what I can read, there's probably information in
18 these studies to give you some indication of what expected
19 levels are going to be and what underlying variability
20 might be. So there's no reason for the proposal not to

211

1 present at least a preliminary power analysis. That also
2 forces them to say up front what kind effect or what's the
3 magnitude of the affect they're looking at which is
4 something we don't see in the report. So I think there's
5 a possibility for a power analysis.

6 The second thing is that, as was mentioned by
7 Dr. Bates, one of the objectives is to look at intra- and
8 intravariability. And yet from what I can gather, after
9 the washout period, there's only going to be one if not
10 two measurements of urine arsenic samples taken. And I
11 think that's going to be inadequate to look at interperson
12 variability. They definitely need more samples;
13 otherwise, we have no idea.

14 And that's a key term we've talked about the
15 last couple of days, the fact the we don't know what
16 happens within a child. We have some information what
17 happens between children, but really very little what
18 happens within. And this would be a kind of a simple way
19 to expand it.

20 The third issue is kind of an interesting one.

212

1 Most study designs, we're looking for an effect that the
2 effect of the treatment is to increase something. In this
3 case, the effect of the treatment is going to be remove
4 arsenic. And as I understand it, arsenic right now,
5 dietary arsenic, leaves these children with probably
6 something like a 10 part per million level in their urine.
7 And we're going to take about half of that out.

8 So now we're going to be down at 5. And
9 probably the detection level is 1 or 2, depending on
10 whether they have a good chemist. Right? So we're going
11 to be running into no-detect situations on the treatment
12 end, and there's no discussion of how they're going to
13 handled that both in the estimation and in the analysis
14 point of view. It's going to be a big headache on that
15 end and could wipe out all the gains in good design if
16 they don't think about that up front.

17 DR. HEERINGA: Thank you, Dr. Portier. Dr.
18 Styblo.

19 DR. STYBLO: Additional comments which is listed
20 in detailed comments for discussion.

213

1 I had one suggestion which may or may not be
2 accepted by others. It would be nice to see some kind of
3 approach, some kind of data, on actual exposures. In
4 these terms, I liked the proposal outlined very briefly by
5 Dr. Solo-Gabriele here because that involved at least
6 brief monitoring of CCA residues on palms. I'm not
7 looking for a detailed quantitative monitoring, but simply
8 semiquantitative yes or no for each day would be very
9 useful for data interpretation and evaluation. So the
10 question is how much would be needed; what it would
11 require to have this component included in a reasonable
12 way.

13 DR. HEERINGA: Thank you. Dr. Ryan.

14 DR. RYAN: I'd just like to comment on the
15 points brought up by Dr. Portier. In deference to time,
16 we have a 10-page document. I just elected not to read
17 the entire thing. Each of the points that you made there
18 is something in there about that. We're concerned about
19 for instance the 40 sample size. We have no idea where
20 that came from. And essentially, we would like to see

214

1 power calculations as well, what kind of difference are
2 you likely to see.

3 Dr. Reed just asked me what's the limit of
4 detection on this. Essentially, we'd like to find out.
5 And that is an exact point that we have in here. Do we
6 have the possibility of showing the effect that we want to
7 show if we're going to see a reduction of, say, 5
8 micrograms per liter in the urine. If that's what our
9 expected drop is, do we have the analytical chemistry
10 power and the statistical power to see that. And that's
11 one of the points we've raised in here. So there are
12 several points on the statistical issues that focus on
13 some of the points the have just been brought up.

14 DR. HEERINGA: Dr. Matsumura.

15 DR. MATSUMURA: Yeah, would I like to support
16 what Dr. Styblo said. And so long as it's done properly
17 before and after and method, it will give us at least an
18 idea as to how much, at least what those children playing
19 around will be exposed. So initial step can be
20 established. So, of course, you know, that's only three.

215

1 But there is already some indication that you can find
2 that's the radius, whatever that you call, really in the
3 wash. So why not start from the beginning, saying do they
4 really get exposed or not.

5 DR. HEERINGA: Dr. Freeman.

6 DR. FREEMAN: Yeah. Should you go on with this?

7 There are changes in your questionnaire that are really
8 need. You need to have a proper dietary questionnaire.
9 Just asking these bunch of questions at the bottom of the
10 page isn't really adequate. That means Setting it up,
11 basically going through food and drug administration,
12 whoever it is the does dietary analysis, and really having
13 an understanding of all the sources of dietary arsenic
14 just not the common not the ones, and not the ones that
15 are common to North American diets but perhaps to Hispanic
16 or Mexican American diets.

17 Another thing. You have a number of questions
18 about whether you have a wood deck or a structure at your
19 house, but you haven't filtered for all the other wood
20 decks and structures these children may be playing on in

216

1 municipals or neighborhoods. And if you're going to do
2 part of it, you have to do it thoroughly for all of the
3 potential sources.

4 I guess that's it.

5 DR. HEERINGA: Thank you, Dr. Freeman. And,
6 again, I think an additional comment on thoroughness of
7 background and environmental observation.

8 I'm not seeing anymore comments on this question
9 for this issue. Dr. Hattis.

10 DR. HATTIS: I just have a minor suggestion to
11 the folks who are designing biomonitoring studies that
12 some consideration be given to biomarkers based on longer
13 averaging times. Recently, there's been a paper publish
14 using toenail arsenic to reportedly to detect decreased
15 repair gene expression in relation to drinking water
16 arsenic that utilizes the toenail as an apparently useful
17 biomarker. Although the exposures that are reported, the
18 drinking water levels that are reported, appear to be in
19 the 10 micrograms per liter range so in the
20 Albuquerque-type range that toenail arsenic appears to

217

1 have some, be used in this case. But because this is a
2 compartment with a longer averaging time, it might well be
3 less subject to day-to-day fluctuations than the urinary
4 levels.

5 DR. HEERINGA: Any questions or comments?

6 At this point, I guess, Dr. Dang, if we can move
7 on to Part B of Question 11.

8 DR. DANG: Actually, when I mentioned about OPP
9 peer review their proposed, I have some kind of summary.
10 I'd like the Panel members, if they need it, copies right
11 here can be distributed to everybody.

12 DR. HEERINGA: So in your earlier comments you
13 mentioned that you had a chance to preliminary review the
14 protocol for this study and you have written comments. If
15 you could share those with the Panel. We'll include them
16 in the docket as well.

17 DR. DANG: Yes. Sure.

18 DR. HEERINGA: Thank you very much, Dr. Dang.

19 DR. DANG: Question B: The Panel is asked to
20 describe approaches for gathering additional data -- e.g.,

218

1 data on the efficiency of transfer of surface residues to
2 the skin surface (which has been identified as one of the
3 most critical model inputs based on the uncertainty
4 analysis) -- to improve the estimates of exposure and or
5 the level of confidence in such estimates, and with
6 respect to these approaches, as well as the proposed pilot
7 study, to comment on the cost of data generation the
8 amount of time to generate the data, the degree to which
9 the data will reduce uncertainty about the accuracy of the
10 model estimates.

11 DR. HEERINGA: And, Dr. Ryan, I believe you're
12 again the lead discussant for the group,

13 DR. RYAN: Still on the same question; still on
14 the same issue. We essentially elected not to design a
15 new investigation here. We simply did not have the
16 wherewithal to do that. And it is the belief of the panel
17 of discussants that we have addressed some of these
18 questions in the evaluation and critique of the initial
19 proposal. The proposal does not address the uptake of
20 arsenic from CCA-treated wood products, a critical flaw

219

1 that should be addressed in a pilot study. But rather
2 than coming up with a design for the complete study, the
3 critical parameters needed, we are for what may be called
4 a roadmap with the negative connotations that it has, of
5 how such a study might be developed.

6 We believe the most appropriate method for
7 developing a pilot and then a full biomonitoring
8 investigation through the active engagement of the public,
9 EPA, and representatives of the industry in the process.
10 This diverse group should come up with an RFA, RFP type
11 approach for such an investigation and solicit proposals
12 from the general biomonitoring community. in this way, EPA
13 and industry are likely to get much better ideas in the
14 collection of data appropriate for uncertainty reduction
15 and improved parameter estimates for the SHEDS-Wood model
16 and to improve the general understanding of the methods
17 and magnitude of effects on CCA-related exposure.

18 The cost of completing this investigation may be
19 relatively high. But if properly designed, will reduce
20 uncertainty in the model by a substantial amount.

220

1 I ask my colleagues to comment as they see fit
2 as well on this.

3 DR. HEERINGA: Dr. Bates or Dr. Styblo? Dr.
4 Bates.

5 DR. BATES: I don't have very much to add. Just
6 perhaps to reinforce the comment that's been made about
7 Dr. Solo-Gabriele's work. And we saw that as the better
8 model for the way forward.

9 DR. RYAN: That is mentioned in our comments in
10 a couple of places,

11 DR. HEERINGA: Thank you very much, Dr. Ryan.
12 Other members of the panel comments at this point?

13 And Mr. Jordan.

14 MR. JORDAN: A question if I may. My sense from
15 Dr. Ryan's comment is that the Panel views biomonitoring
16 as the most profitable place to pursue additional
17 investigations to reduce uncertainty. There have been
18 over the course of the meeting a number of other ideas
19 about collecting data to address specific limited data
20 sets and so forth. And our hope was in asking this

221

1 question that the Panel would be able to offer some sense
2 of priority among those different investigations so that
3 we'd be able to see where, in colloquial expression, we'd
4 get the biggest bang for the buck.

5 I infer from Dr. Ryan's comments the
6 biomonitoring, even though it might be quite expensive,
7 represents the Panel's highest priority. But I thought I
8 would ask and see if that inference is correct,

9 DR. HEERINGA: Thank you for that direction. I
10 think that's an important point to the Panel. And I
11 think, Dr. Ryan, if you'd like to respond in regard to the
12 biomonitoring of relative importance.

13 DR. RYAN: I think the biomonitoring is of
14 relatively high importance. Your statement was the most
15 important. I would say, certainly, a most important, the
16 indefinite rather than the definite article. And would
17 have to do a lot of balancing before I would say this is
18 the thing to get first. It is certainly among the most
19 important things in my estimation and certainly from this.

20 Comments from my cohort here? And I certainly

222

1 can't speak for the entire panel. I'd like to hear what
2 other people think about this as well.

3 DR. HATTIS: Yeah, if it were successful, the
4 biomonitoring evidence would be salient for the over all
5 analysis. The chance of success, I think, needs to be
6 weighed a little bit because it's quite possible that
7 background fluctuations are going to be a problem here.
8 So I think you have to judge a little bit the high
9 likelihood of success in quantifying a more modest
10 component contributing to the overall uncertainty and
11 likely cheaper study. So that all of that goes into the
12 priority setting mix to some extent.

13 So that while I can't -- ideally one could do a
14 value of information analysis. But an important input to
15 a value of information analysis would be in terms of how
16 much confidence limit reduction you get per dollar of
17 research cost. An important component of that is the
18 likelihood of producing data that significantly changes
19 one estimates of either overall variability and
20 uncertainty, overall uncertainty, or a specific component

223

1 that contributes to uncertainty and... So that likelihood
2 of success as well as the cost issues. It's not easy for
3 me anyhow for me to imagine off the top.

4 DR. PORTIER: It strikes me that the
5 biomonitoring is probably most important for this
6 particular application of the model in the sense that it
7 provides the best validation of where you are with the
8 model. But if you take the broader picture of what this
9 models is going to mean to the Agency and other
10 applications, some of the childhood activity information,
11 increasing that database seems pretty important. If we
12 move away from arsenic to pesticides and other situations,
13 you're going to want that same information for those other
14 applications.

15 So there's a synergistic effect that happens in
16 that investment that helps this model to validate
17 components. It may not do as good in terms of convincing
18 everyone that this is the perfect model. And you may need
19 to do both in the short term. But I'm sure Dr. Freeman is
20 going to follow up on this.

224

1 DR. HEERINGA: Dr. Freeman and Dr. Styblo.

2 DR. FREEMAN: I love the idea of biomonitoring.

3 But you have to remember to put this in the context of
4 who it is you are biomonitoring which are 1 to 6 year
5 olds. The 3 to 6 years old, it's not too much of a
6 problem. Below that age, you're dealing with kids who are
7 not toilet trained which means you're going into either
8 diaper inserts or gauze pads inside the diaper or trying
9 to develop a chemical analysis for these, wonderful modern
10 diapers they have that don't leak which means it's almost
11 impossible to extract whatever goes into them out of them
12 once it's there.

13 And I know that RTI and Battelle have been
14 working on these activities. But it's a challenging.
15 Then you have to ask the questions: If you're doing all
16 these chemical treatments of the artificial diaper in
17 order to get out the urine, what are you adding to the
18 urine that you maybe don't want to be adding when you're
19 doing the analysis of what you're interested in.

20 Hand wipes are a lot easier to collect from

225

1 kids. The issue there is you have to put it within a
2 context which is what are they doing and what have they
3 been doing over the time period that that hand wipe or
4 rinse represents. Which means that the studies have to be
5 sort of carefully crafted. It's almost like doing a bench
6 study or a laboratory study except you're doing it
7 outside.

8 Dr. Kissel has also played these games. And he
9 has an understanding of the challenges.

10 DR. STYBLO: I think once you realize that
11 although we will call a viable project, it will be a
12 costly event even in the small frame. There will be
13 something the needs to be clarified before this pilot
14 project starts. And I will talked about one I am closely
15 familiar with which is the speciation analysis of arsenic
16 in urine. It would be too late to find out that our
17 analytical methods are not capable of proper speciation
18 analysis at these low levels of exposures. So one thing
19 to clarify before this project even starts is do we have
20 appropriate analytical methods that would reflect our

226

1 requirements.

2 Talking about analytical approaches, you know,
3 although there have been great advances during the last 5,
4 10 years; there's been more done around the world. And we
5 are kind of behind now. Strangely, we're behind in the
6 United States. There are laboratories in Europe that are
7 laughing about our atomic -- and spectrometry approach for
8 arsenic speciation using the current atomic force and
9 detection.

10 There are developments going on right at this
11 time in European labs, and I can name some of them, that
12 improved instrumentation that means a greater order of
13 magnitude greater sensitivity of high generation approach
14 for atomic absorption or for atomic fluorescence. And
15 that's something that needs to be considered.

16 Personally, we have somebody recently a small
17 Fogerty Grant, with a lab in my homeland, Czech Republic,
18 that is developing this kind of instrumentation. I'm not
19 pushing the idea that this has to be the lab that could be
20 involved. But there are ideas how to improve

227

1 instrumentation and methodology that would mean greater
2 increase in the sensitivity of the speciation methods for
3 arsenic.

4 DR. HEERINGA: Yes, Dr. MacIntosh.

5 DR. MACINTOSH: I'd like to ask a two-sided
6 question that may be kind of naive because I'm not that
7 experience in design of biomonitoring studies and their
8 interpretation. But I wanted -- I think it might be
9 useful to think about how the results of a biomonitoring
10 study would relate to evaluating the performance of the
11 model. And a biomonitoring study, we're going to get
12 concentrations of arsenic, hopefully, in urine. Maybe
13 that's going to be expressed as simply a concentration or
14 maybe an excretion rate. But the model predicts absorbed
15 doses of arsenic. It doesn't predict excreted arsenic.
16 So in some sense, there's a fundamental mismatch in the
17 experimental data with the model data.

18 And so my two-sided question is: What does it
19 mean if the molar amounts of urinary arsenic are less than
20 the SHEDS absorbed doses of arsenic and what does it mean

228

1 in the reserve?

2 DR. HEERINGA: That's an open question. I'm not
3 sure anybody is going to answer.

4 DR. HATTIS: To properly interpret the data, you
5 would need some kind of a pharmacokinetic treatment of
6 absorption and excretion of arsenic. But such treatments
7 are not unknown in the literature.

8 DR. Macintosh: So at that point we introduce
9 another layer of --

10 DR. HATTIS: Yes.

11 DR. MACINTOSH: -- modeling that we haven't even
12 considered yet.

13 DR. HATTIS: Yes, indeed.

14 DR. HEERINGA: Dr. Chen.

15 DR. CHEN: At this moment, if we are talking
16 about arsenic in water and there are human in vivo study.

17 And it seems like arsenic once it goes into the body,
18 then you excrete for a very short period of time the show
19 urine. And whether it's in it's original form or in it's
20 metabolite. I think this is a main reason that they are

229

1 using the arsenic in urine as an indicator. But when we
2 were talking about arsenic in the CCA-treated wood, after
3 it goes through the discussion. And I think we don't
4 know. But I think that's the main reason that they design
5 arsenic in the urine because of inorganic arsenic in the
6 water studies.

7 DR. CHOU: Actually, one of the references cited
8 by Caldron et al., Actually in there, there's a
9 correlation between arsenic in drinking water and urinary
10 arsenic. This is done in the United States. I think that
11 is one of the references listed in the study. I don't
12 know whether it's sensitive enough to detect low level
13 increment of urinary arsenic in children. But in theory,
14 it's a sound assumption that it should work.

15 DR. MACINTOSH: I am somewhat familiar with that
16 literature and those relationships in some of the studies
17 by Calderon and her colleagues. But I'm still not sure
18 that that addresses this mismatch in the type of data
19 produced by the model versus what would be collected in a
20 biomonitoring study.

230

1 It seems to me if we wanted to know about
2 absorption, that we would be better served to design an
3 absorption study. All right. And that would get more
4 directly at the parameters of the model that we seem not
5 to know much about.

6 DR. HEERINGA: Dr. Ozkaynak.

7 DR. OZKAYNAK: Just pursuing that discussion. I
8 think it's an important discussion. Another way of trying
9 to sort of eliminate or reduce the mismatch is to consider
10 a PBPK model be incorporated with the SHEDS model.

11 DR. HEERINGA: Any response?

12 DR. MACINTOSH: I agree.

13 DR. HEERINGA: Dr. MacIntosh, you agree.

14 DR. MACINTOSH: I agree.

15 DR. HEERINGA: Any other comments on the
16 extension of the sort of proposed concept for the
17 biomonitoring study as having as an endpoint urinary
18 levels of arsenic? Yes, Dr. Wauchope.

19 DR. WAUCHOPE: I guess I'm addressing the
20 question up there, not so much as the biomonitoring. It's

231

1 asking for any kinds of approaches.

2 DR. HEERINGA: Yes.

3 DR. WAUCHOPE: This question may be more a
4 function of not having been able to go through the six
5 inches of paper. When I tried to mechanistically make the
6 connections between contact and then adherence and then,
7 you know, hand-to-mouth and then ingestion, I have a good
8 deal of trouble figuring out exactly how the parameters
9 all fit together. I'd like to be able to do a
10 back-of-the-envelope calculation of all the means, for
11 instance, and see if that works for me.

12 So some way of perhaps -- I don't know how to tell
13 you. It's easy to criticize. Hard to come up with
14 something creative. But some way of linking all of these
15 parameters so that the mechanism is clear to perhaps a
16 list for someone who doesn't do this work all the time.

17 What would be wrong with doing an experiment
18 where you simply -- I'm starting to sound like a
19 single-note singer. What would be wrong with doing some
20 kind of experiment where you simply look at the most

232

1 soluble fraction in the surface of the wood. And there
2 ought to be a mechanical way to measure how much of that
3 gets from wood surface to gut. The ought to be possible
4 do mechanically without some sort of in vitro experiment.
5 We simply look at transfer.

6 Maybe that's already been done. Maybe it's
7 obvious from your data that it's there. But that number
8 would relate directly to all of the well drinking water
9 studies that people have done. I don't know. Maybe it's
10 obvious that that's been done. But I just would like to
11 ask and get a response to that.

12 DR. HEERINGA: Are there any additional comments
13 on this point?

14 Excuse me a moment. I want to confer with.

15 At this point, we have an opportunity. And I
16 think that we'll honor that in the interest of sort of the
17 maximizing the accuracy of our information to have Dr.
18 Beck come forward just to clarify a few points on the
19 proposed monitoring study as discussed. Dr. Dang, is that
20 agreeable?

233

1 DR. DANG: Yes. Fine. I agree with everything
2 here.

3 DR. BECK: First of all, thank you for your
4 comments. I just have some points of clarification.
5 First of all, I think we want to emphasize that our aim is
6 not to extrapolate from this proposed study which the more
7 I think about it, you are Dr. Bates is correct. It's
8 really more of a feasibility study.

9 We do not aim to extrapolate from this to what
10 might be the impact of CCA mitigation but to inform us as
11 to considering what magnitude of impact the model predicts
12 as far as say a mean population, exposure of CCA-treated
13 wood, what can we detect in the urine. We may find out,
14 for example, that the effect is too modest to be
15 detectable in urine considering the inter- and
16 intravariability in urine arsenic levels.

17 So I wanted to emphasize that our aim isn't to
18 extrapolate directly from this to any CCA exposures. But
19 to use this to inform the analysis more appropriately.

20 We probably could have provided you with more

234

1 information on QAQC. We have been work being with Dr.
2 Calman at the University of Washington as the analytical
3 chemist. He certainly is very expert and experienced in
4 urine arsenic measurement, including speciation. I don't
5 recall what the detection limits are, but we're really
6 talking on the order of a part per billion or so. We will
7 be using a sensitive method.

8 And as part of one of the aims of the study is
9 that Dr. Calman intends to use this study to develop
10 improved methods for improving the analytical detection of
11 the methods of arsenic.

12 I believe -- were those the key points?

13 There was discussion regarding power
14 calculations. We've done some limited power calculations.

15 The difficulty with that is that, in order to do it, you
16 need to have a good estimate of variability of urine
17 arsenic in children. And the data are really quite
18 limited. And you can get quite a range in power
19 calculations in terms of number of children you would need
20 to, say, detect 2, 3, 5 micrograms arsenic per liter

235

1 urine.

2 We got very wide ranges in the number of
3 individuals that one would need depending on which
4 underlying urine arsenic study we used for children. So
5 our aim was to use this study itself to develop the power
6 calculations for what would be a fuller study.

7 And I just wanted to end by saying that as far
8 as the full study, we've been talking about biomonitoring.

9 It's not clear to us that urine arsenic is not
10 necessarily the best measure. It may turn out that it may
11 be more useful thinking of some of the points that Dale
12 Hattis raised, to do video tapes and to do hand wipe
13 analysis at different times of children engaged in play
14 activities.

15 This is our start. We don't have a final
16 protocol. But certainly our aim is to do some of this
17 discussion of the Solo-Gabriele method. I mean certainly
18 we would want to do something along those lines. But we
19 want to be sure that we've designed it to the best of our
20 capabilities before we get to the point.

236

1 DR. SHARMA: I think Dr. Beck captured it. To
2 get to Dr. MacIntosh's point. I think there are many
3 endpoints that you can get other than just urine arsenic
4 which can feed into the model. So I think, when thinking
5 about a main biomonitoring study, I think we should think
6 in addition to those points. A lot of those are
7 uncertainties within the model as we've heard over the
8 last two days, particularly the hand-to-mouth pathway.
9 And, you know, we do want to design the best study. And I
10 know you saw a study yesterday which just had 10 children
11 and didn't seem to have appropriate controls even. But we
12 are trying to do the best science possible in developing
13 this study.

14 DR. HEERINGA: Thank you very much, Dr. Beck and
15 Dr. Sharma for those qualifications.

16 At this point in time, we have one remaining
17 question. And I'd like to suggest the we take a 10 minute
18 break, 10 minutes only, and reconvene 2:55. And we're due
19 back here at exactly 10 minutes.

20 [Break taken at 2:43 p.m.; meeting

237

1 reconvened at 2:55 p.m.]

2 DR. HEERINGA: Let's reconvene to Issue No. 12.

3 Dr. Dang, I believe we're up to issue No. 12.

4 And if you would be willing to read the introduction and
5 the first question.

6 DR. DANG: Sure. Issue No. 12, Prior to the
7 availability of probabilistic models, such as SHEDS, OPP
8 estimated the lifetime average daily dose (LADD) and
9 corresponding cancer risk to pesticides via a
10 deterministic approach using central tendency input
11 parameters (median or mean values). Probabilistic models
12 now allow OPP to express input parameters as distributions
13 and subsequently generate a distribution of LADDs and
14 corresponding pesticide cancer risks. In other words, the
15 deterministic approach results in a single cancer risk
16 value and the probabilistic approach results in a
17 distribution of cancer risks values.

18 Question A: The Panel is requested to comment
19 on whether in this probabilistic approach of using the
20 upper bound arsenic cancer slope factor combined with

238

1 using high-end LADDs would result in a significant
2 overestimation of risk for the more highly exposed
3 percentiles of the population? If this is an
4 overestimate, what other values would the Panel recommend
5 using as replacements, or in addition to the values that
6 were used that would minimized the overestimation of risk
7 without substantially underestimating the risk for such
8 percentiles.

9 DR. HEERINGA: We have presented Question A, and
10 Dr. Hattis is the lead discussant for this issue.

11 DR. HATTIS: I guess the version of the question
12 that I have in my document refers -- makes a reference
13 that I don't understand. What I have -- I was hoping that
14 you would clarify it. Essentially, in this assessment,
15 the estimated risks are considered approximations because
16 inaccuracies may occur when exposes to some of the cross
17 roots at the cortile level especially in the upper
18 percentile.

19 And I couldn't identify where that had been done
20 in this risk analysis or this exposure analysis. And

239

1 maybe that's left over from some earlier draft of the
2 questions or something.

3 DR. OZKAYNAK: I don't believe it's done in the
4 exposure or dose assessment.

5 DR. HATTIS: I didn't see it.

6 DR. OZKAYNAK: I think maybe it's in the risk
7 assessment.

8 DR. DANG: Actually, it's in this. Based on the
9 exposure parts. And we would calculate the risk is try to
10 sum altogether. But in the upper percentile, we tried
11 route to route. And we tried to distinguish between the
12 residue and the soil source. So the reason we say when we
13 -- maybe I better present the slides I have.

14 DR. HEERINGA: Dr. Dang, these are slides to
15 clarify the question.

16 DR. HATTIS: I don't think that was done here.

17 DR. HEERINGA: Dr. Portier, do you have a
18 comment while we're waiting?

19 DR. PORTIER: Isn't it the fact that the SHEDS
20 model does the integration of this exposure for us across

240

1 the -- so in a additional approach where you might be
2 looking at sources, you may be take up the quartiles and
3 then summing them across to multiply. The SHEDS model
4 does that integration does in a much more elegant way I
5 would say.

6 DR. OZKAYNAK: Correct.

7 DR. HATTIS: The summation is in terms of
8 estimated absorbed dose. So that's appropriate, I think,

9 DR. DANG: Yes. A slight difference. It's not
10 the -- but we try to show you the slide what I mean in the
11 next one. It's the cancer risk.

12 In here, we don't have detailed data from
13 exposure, so we use the quartile to sum it all together.
14 We try to say it's in upper percentile is what we say
15 could be inaccurate compared in exposure based on the
16 Monte Carlo distribution. This is one question we tried
17 to ask the panel is: Is the Panel -- should I read the
18 next Question B since we are talking about this issue?

19 DR. HEERINGA: That actually occurred to me that
20 you could read Question B because I think we have a more

241

1 specific answer to that one which might evolve --

2 DR. HATTIS: I have a much more specific answer
3 to Question B.

4 DR. HEERINGA: Please, Dr. Dang, why don't you
5 go ahead and read Part B. And then we will keep in mind
6 the discussions of Part A simultaneously.

7 DR. DANG: Sure.

8 Question B: The Panel is requested to comment
9 on the range of the percentiles, if any, at which there is
10 a significant decrease in the reliability of the estimates
11 of risk.

12 DR. HEERINGA: Dr. Hattis.

13 DR. HATTIS: The technical aspects of this
14 question are best addressed by multiple parallel
15 simulation runs. The differences in percentile estimates
16 among runs give the stability of the calculated values
17 directly. Parallel runs should be standard in our view,
18 my view anyhow, of this kind of modeling. Uncertainties
19 analysis -- two dimensional uncertainty analyses composed
20 of 180 uncertainty runs of 480 simulated people each

242

1 clearly in this kind of case, because you're only dealing
2 with 480 people, it's likely that you will find the 99th
3 percentile level would be rather unstable because it would
4 only be based on five people per run. But in any case,
5 the actual quantitative stability is much easier for you
6 folks to calculate than for me to imagine.

7 Now the second -- however I thought I read into
8 your question a bit of a policy aspect of the question.
9 And there's an underlying policy question, the calculation
10 and the publication --that the calculation of the
11 publication specific percentiles of variability and
12 uncertainty distributions. higher percentiles are
13 generally of interest, for more of interest, for
14 variability than for uncertainty. And I've got a
15 reference on that to a paper and why that is.

16 As it's reflected in SHEDS in a greater number
17 of variability versus uncertainty iterations in the
18 current approach. However, this group as technical
19 specialists should not comment to overtly on exactly which
20 information for points on the variability and uncertainty

243

1 distributions are most salient for particular kinds of
2 decisions under the Agency's legislative...

3 And maybe you didn't ask me that overtly. I
4 thought I saw in the subtext of the question from previous
5 discussions of the dietary issues whether the 99.9th
6 percentile is of interest. And to some extent, the
7 response to that is you guys are in a better position to
8 understand your legislative mandates than we are.

9 DR. PORTIER: A quick summary. Basically, what
10 we're saying is that if you pick an upper percentile from
11 a regulatory viewpoint, you can run enough simulations to
12 get that as accurate as you want to get it. You're just
13 going to have to run your simulations over and over again.

14 You may have to increase the number of individuals to get
15 that value as accurate as you need it. It's not something
16 that's statistically derived beforehand. It's an output
17 of how much how much effort you put into the simulation
18 process. So we're kicking it back to you on this one.

19 DR. HATTIS: Now I could go back to A if you
20 like because we've already sort of made some comments to

244

1 some extent on the A part.

2 PANEL MEMBER: Maybe other people should comment
3 on B.

4 DR. HEERINGA: I'd like to add a comment to, I
5 think, Dr. Hattis and Dr. Portier have said too. And that
6 is through repeated simulations and increased sample
7 sizes, conditional on the performance of your model and
8 your inputs, you can fully assess the variability and
9 uncertainty over those range of inputs. In other words,
10 there's a bigger issue of do you represent their
11 uncertainty in departure of any inputs in the model
12 algorithm and mechanisms from the real world. We all know
13 that that's the bigger issue.

14 So with regard to the stability of the
15 percentile distributions conditional on your model and the
16 established inputs, you can, in fact, run large enough and
17 enough simulations to eliminate, I think, essentially
18 quantify the uncertainty at that point.

19 Dr. Macdonald, please.

20 DR. MACDONALD: I wish I understood the question

245

1 a bit better. But from the wording of Part A, I see at
2 least one of the issues is: Is it okay to do a number of
3 different things, get a high-end estimate from each one
4 and then combine all the high-end estimates. That's what
5 I think you're asking in Part A. And the answer I would
6 give is, no, it's not a good idea. You should always be
7 going back to the complete distributions and somehow
8 combining all the distributions and then get the upper
9 percentiles of the resulting estimates the you want.

10 DR. HATTIS: And in the case that you have some
11 uncertainty on the tox value that's not fully analyzed
12 yet, the ideal situations would be in fact to go make
13 whatever to make a -- an uncertainty distribution for the
14 tox values and combine that with the uncertainty
15 distribution and variability distribution for the
16 exposures.

17 In this connection. I think it would be much
18 better for you in the document not to characterize the
19 current 3.7 or whatever it is microgram per risk number as
20 an upper confidence limit because, first of all, it's not

246

1 a Q1-Star; it's not an upper confidence limit from the
2 Morales analysis. It's a central estimate from the
3 projection from the Morales analysis.

4 Second, it seems to me important that you
5 mention that -- you do mention the NCR study. But you
6 don't mention, and I think it's reasonable for you to
7 mention, that the NRC risk estimate would tend to raise
8 that. It's not also out of the question to mention Dr.
9 Beck's point that there are claims anyhow that the NRC
10 estimate is inconsistent with the Utah data.

11 Now it may well be that in the NRC report, which
12 I have not fully read, there is a treatment of that issue.

13 And I would look in there for that issue. And they may
14 have reasons for not being worried about that.

15 But there are much more -- obviously, there's a
16 much more sophisticated body of folks who have looked at
17 that than I can muster at this stage.

18 But nevertheless, I think it would be -- the
19 principle is that even if you for regulatory
20 decision-making elect to use a number other than the NRC

247

1 estimate, it's fair to the reader to disclose that there
2 is this other estimate and that the effect would be to
3 somewhat increase -- well, actually, rather considerably
4 increase the reported risks.

5 I think it's also fair to the reader, since this
6 is the EPA, part of the EPA, and another part of the EPA
7 has proposed an adjustment to the cancer potency factors,
8 to mention that.

9 Now the response that was given during the
10 discussion earlier was that the childhood risks are
11 included in the Taiwan and Chile populations that were
12 studied. And that's quite right. But, certainly, the
13 pattern of exposures that was represented in the
14 epidemiological population is quite different than the one
15 that's being modeled here; in that generally you have
16 lifetime exposures and the doses in those epi studies are
17 calculated on a lifetime basis, or at least on a
18 lifetime-to-cancer development basis. Whereas or lifetime
19 to some 10 years or something before in some cases
20 perhaps.

248

1 But the doses that are being modeled here or the
2 exposures that are being modeled here are solely those to
3 young children. Okay. So it's not at all clear that the
4 presence of the children as part of the exposures is
5 represented in the average lifetime cancer risk that's
6 calculated from the epi studies.

7 I would suggest that in fact some multiplicative
8 adjustment is still likely to be required if you consider
9 that arsenic is in the mutagenic carcinogen category, and
10 there's discussions of that one way or the other. Suffice
11 it to say that by inhibiting DNA repair processes which is
12 relatively well documented, you can show the modeling that
13 if that's a competitive inhibition that's just like the
14 dose response form that you expect from a directly
15 mutagenic carcinogen. If it's a direct competitive
16 inhibitor, that's going to be linear at low doses. If
17 it's an inhibitor that is secondary to some toxic process,
18 that's an entirely different matter and you could have any
19 kind of dose response shape the you like.

20 If on the other hand arsenic is acting by

249

1 changing methylation patterns and that happens nonlinearly
2 as a function of dose then, again, that's a different
3 category of dose response projection. We obviously can't
4 resolve that here. But it seems to me that some paragraph
5 or to mention of those possibilities is fair to include in
6 the summary discussion of the cancer risk conclusions.

7 DR. PORTIER: I just wanted to point out, Dr.
8 Chou wanted to mention that in the Exposure Factors
9 Handbook the cancer slope factor term is still at 1.5.
10 Right? So if you go by your official published, that's
11 the number that you should be using and that number
12 probably needs to be changed.

13 DR. HATTIS: That's also based upon the skin
14 cancer. And you might also mention that in fact there are
15 some risk of skin cancers that should be considered to be
16 likely in the light of the large body of human
17 epidemiology available for that site.

18 DR. HEERINGA: Just for clarification, Dr.
19 Hattis, there are published cancer slope factors for
20 liver, bladder cancer and a separate slope factor for skin

250

1 cancer. And these are treated as additive or...

2 DR. HATTIS: Well, the risks -- to my knowledge,
3 there's no reason to suspect that getting skin cancer
4 would preclude you from getting one of the others. I
5 think if you get lung cancer, the experience is that
6 you're not available to get other cancers.

7 DR. HEERINGA: They are separate. Thank you.
8 If I could go back. Dr. Portier.

9 DR. PORTIER: I was going to say the other thing
10 is Dr. baits wasn't here and he asked to mention a little
11 more strongly than Dr. Hattis the fact of integrating over
12 70 years. He would much prefer to see you integrate over
13 a much shorter time period because of the nature of
14 dealing with children and the fact the their cancers are
15 not necessarily going to be expressed at 65. They may be
16 expressed at 19 or earlier in this situation.

17 DR. HEERINGA: This is the issue of the 75 year
18 basis for the calculation of LADD.

19 I'd like to return in terms of response from the
20 Panel to Part A. I read the question, part a, as Dr.

251

1 Macdonald did. And just to be clear, Peter, I think
2 you've stated that if we have two values from independent
3 processes or semi-independent data sets that establish
4 upper percentiles at some product multiplication of those
5 is not by any means an upper bound for their joint
6 distribution. And I would agree. We're hampered in much
7 of this analysis by not understanding the covariance
8 structure between so many of these parameters.

9 If we knew that, obviously, then the simulation
10 models themselves would produce the correct estimates and
11 with the appropriate sample sizes and repetitions,
12 estimates of stability or reliability. We do not know
13 what these covariances are. But most of the world doesn't
14 have, certainly, perfect covariance particularly at the
15 upper percentiles at least partially independent
16 observations.

17 So taking the extremes of those two, if we could
18 view those as extreme or extreme values in terms of
19 assumptions about the distribution and just taking the
20 product, I think, is probably likely to exceed any real

252

1 world simulation of those same individual percentiles.

2 There tends to be a regression toward the mean because of
3 lack of perfect covariance between many of these
4 observations even in things which we feel are correlated
5 highly say at .4 or .5 in this world. The regression
6 toward the mean compared to just -- multiplication extreme
7 values.

8 Comments from Dr. Macdonald, Dr. Portier, or Dr.
9 Hattis on that.

10 DR. HATTIS: I certainly agree. And I also
11 think that, you know, one is well over due in making some
12 distributional treatment of the tox values in general.
13 And in this case, there's much more uncertainty that
14 results from the transport -- to some extent from the dose
15 response relationships for arsenic. Although there we
16 have also some considerable amount of data. But also
17 there is uncertainty related to the transport of
18 observations from Taiwan and Chile to the U.S. because we
19 have very different background cancer rates. And that's
20 one of the things the NRC addresses in some detail I

253

1 believe.

2 But even so, one could use at least, some
3 estimates of uncertainty derived from their analysis, I
4 think, separately for Chile and Taiwan or combined to get
5 some sense of the plausible range of cancer potency
6 values.

7 DR. RIVIERE: I have one question. The word
8 skin cancer came up. I didn't think you were looking at
9 skin cancer rates on this study.

10 DR. CHEN: Well, the reason that we are looking
11 to the lung and bladder cancer is starting from 1999 NRC
12 report, in the report they find out the lung and bladder
13 cancer can most represent the arsenic kind of exposure or
14 something. And they suggest to do this kind of risk
15 assessment based on do they individually then combined.

16 DR. RIVIERE: That's what I thought. Because if
17 you were going to do skin cancer, your absorbed doses
18 probably are irrelevant. You need to look at the dose
19 that's in the skin. And, therefore, we haven't discussed
20 any of that --

254

1 DR. HATTIS: It's not from skin exposures. It's
2 from drinking water exposures. the quantification of the
3 skin cancer always has been from the drinking water not a
4 direct skin pathway.

5 DR. HEERINGA: Good. I didn't mean to confuse
6 that issue before. But since the factor of 1.25 had come
7 up, I think, it was associated with skin cancer.

8 DR. CHEN: And 1.25, and we did some kind of
9 comparisons in our document. And we do know that there's
10 some uncertainty. And once we have the final kind of
11 cancer potency factor decided, I think we need to have
12 some clear kind of explanation why we choose that number.
13 And we will try to prepare a document.

14 DR. HEERINGA: Dr. Dang, a question on behalf of
15 the Panel to you and the EPA. The final -- we've seen a
16 preliminary risk assessment report, which is based on a
17 cancer slope factor of 3.67. We understand that separate
18 from these meetings that the Agency is reviewing cancer
19 slope factors for arsenic exposures. If and when the
20 Agency makes that decision, would you expect to revise

255

1 this probabilistic risk assessment in light of the Agency
2 decision?

3 MR. JORDAN: The answer is, yes, we would.

4 DR. HEERINGA: And then I think as Dr. Chen
5 pointed out the actual mechanism by which you derive that,
6 I assume that will be explained by the Agency. And if you
7 modify it for this application, it would be explained as
8 well.

9 DR. JORDAN: That's correct.

10 DR. HEERINGA: Thank you very much.

11 At this point, seeing no other comments on
12 Question 12, I'd like to, before we wrap up, offer members
13 of the panel an opportunity to make a comment on any other
14 aspect of the preliminary exposure or the probability risk
15 assessment documents or processes. We've covered quite a
16 bit. But if there's anything you feel has been left out
17 or that you want to be sure that you state in open forum,
18 I think this is the chance.

19 DR. FREEMAN: This basically to thank these
20 guys, Dr. Zartarian and her colleagues, for doing just an

256

1 amazing amount of work in a fairly short period of time
2 and responding to all the questions that have been had.

3 DR. ZARTARIAN: Thank you from me and my
4 colleagues.

5 DR. HEERINGA: Dr. Kissel.

6 DR. KISSEL: I just wanted to emphasize. I
7 don't know if this came across or not. But one of the
8 industrial commentators the other day gave a sort of
9 impassioned speech not to make regulatory decisions on the
10 basis of this existing model which is not something we
11 were directly charged with. But just, I guess, to
12 reinforce any protection against abuse in that direction,
13 I'd like to say that the current uncertainty analysis
14 doesn't allow you to go to that stage, that a more
15 complete uncertainty analysis would be necessary in order
16 to evaluate percentiles at which you might want to make
17 decisions. And I would hope that no inference of license
18 to go that way would be derived from this panel.

19 And if anybody want's to disagree with me is
20 okay because I can't really speak for the whole panel.

257

1 Just to get it on the record, I don't think that we have
2 turned you loose to regulate using this model even though
3 we have addressed particular issues here and said that
4 individual parts of this model were either okay or not
5 okay.

6 DR. HEERINGA: Dr. Kissel said it. I think the
7 objective of our panel is to present a scientific report
8 on these observations. And your decision is going to be
9 implicit in that. We're an advisory panel.

10 DR. HATTIS: I think that I would disagree in
11 part. That I think that there is significant
12 uncertainties, but this is a fuller analysis. And to
13 combine with the sensitivity analysis that have been done
14 which covers some of the key points that have been raised
15 here provides some information that a decision-maker might
16 want to refer to. And it certainly is much more extensive
17 than I have seen done for most cases where regulatory
18 decisions have been reached. So I don't think that you
19 would discard these data if you were making a regulatory
20 choice which they're not.

258

1 DR. KISSEL: Perhaps I should explain just a
2 little. I was speaking more in terms of using
3 probabilistic models directly in the regulatory process
4 which is -- we're kind of on the cusp of moving to that
5 stage. And in the absence of a full uncertainty analysis,
6 I don't think we've actually there. In some ways this is
7 a very sophisticated deterministic analysis. So I guess I
8 would just caution that I haven't seen here what I would
9 like to see prior to implementation of a truly
10 probabilistic approach to regulation.

11 DR. HEERINGA: Thank you, Dr. Kissel. Dr.
12 Macdonald.

13 DR. MACDONALD: Yeah, I'd just like to pick up
14 on an idea the Dr. Kissel has given out. That is in the
15 various distributions in describing this as a
16 sophisticated deterministic model, there's some confusion
17 in the various distributions that go into the
18 variabilities and the processes to which ones are variable
19 because we don't know the answer and which ones are
20 variable because there's an natural variability.

259

1 And it would be a little bit more satisfying and
2 more elegant if those two concepts were separated, natural
3 variability from ignorance.

4 DR. WAUCHOPE: I served on a working group about
5 15 years ago when we began talking about doing
6 probabilistic modeling. And you've made great progress.
7 I congratulate you. I look forward to seeing the
8 toxicology part.

9 But certainly from my point of view, maybe as a
10 chemist, I understand the improbabilities of the
11 uncertainties in the exposure part better than the tox
12 anyway. Of course, it's horrifying when you discover how
13 bad the uncertainty is. Regulating on something where
14 you've got a 6 order of magnitude spread in some of your
15 distribution functions is kind of scary and I don't know
16 how you do that. I guess that's policy.

17 When all is said and done, and I'm speaking now
18 perhaps as a lay person, it seems to me that the bottom
19 line is your regulating on a hypothetical arsenic
20 transmission mechanism that's totally unproven at this

260

1 point. You don't know much about to many of the fractions
2 that are involved in the mechanism of getting arsenic from
3 the deck surface into the GI tract of these children.
4 Maybe you know a lot more than is obvious to me. But I
5 would certainly like to see some more discussion or some
6 more consideration of how you get some actual measurements
7 that validate something, validate some of these parts of
8 the processes that you're hypothesizing.

9 DR. HEERINGA: I also think to follow up on Dr.
10 Kissel's comments and those by Dr. Macdonald also. One of
11 the toughest things we face in research and particularly
12 anything that involves stochastic analyses or stochastic
13 presentations is that if we can do thing right with
14 stochastic inputs to reflect uncertainty. And when the
15 results are published, the world chops off the uncertainty
16 and works with the point values.

17 One of the first things that I learned in data
18 publication is that you can present measures of
19 uncertainty, but the people who read and write on those
20 papers, particularly addressing public media, will tend to

261

1 lop off the uncertainty. So we have a big education
2 function there. So a lot of effort has gone into
3 producing results that incorporate and reflect uncertainty
4 and variability. But we now also have to education the
5 users of those data as to how to interpret those measures
6 in their own decision-making because they've been trained
7 to make decisions on point values.

8 DR. HATTIS: I make the concluding observation
9 to a degree that all uncertainty analyses are incomplete
10 because there's all sorts of model uncertainties,
11 systematic errors that are never or very many seldom
12 addressed and very difficult to address. So I think the
13 best one can do in this state of the art so to do the best
14 you can, describe the uncertainties you think you've
15 captured, and fairly communicate it as best you can to the
16 audience. And that has got to be good enough in some
17 sense.

18 I think Dr. Macdonald's point, the authors have
19 tried to separate variability and uncertainty. They
20 haven't completely done it because, for example, there's

262

1 no effort to remove the effects of measurement error from
2 the estimates of variability. That's a big subject.
3 Techniques for practically doing that have not been
4 developed, I mean, in lots of cases. There's lots of
5 cases if you're measuring things that are well measured,
6 it doesn't matter to much. The measurement error is small
7 and you can neglect it. But nevertheless, it hasn't been
8 done and it's rarely the case that people have done model
9 analyses of that kind.

10 But nevertheless, you have to go forward. The
11 decision-making process needs to go forward both among
12 users and among public decision-makers based on reasonable
13 application of the efforts of the limited number of
14 analysts that there are.

15 DR. HEERINGA: Well, again, I think we're ready
16 to conclude. Mr. Jordan.

17 DR. JORDAN: Thank you, Dr. Heeringa. I don't
18 want to truncate the conversation. But I have a sense
19 that it may be drawing to an end. I'm sure everyone is in
20 some measure pleased at that. I know we certainly at EPA

263

1 are very pleased to have had as much wonderful advice.

2 And this last conversation has been for me, as a
3 policy nerd, particularly useful and interesting. I know
4 that the line between policy and science is sometimes a
5 little blurry. And today I've gotten the sense that we've
6 walked up to that policy line a couple of times, and I'm
7 glad that we noticed and we're watching for that and in my
8 view at least try to get into things and stuck with the
9 science.

10 But the science is immensely valuable to
11 informing and understanding the policy choices that face
12 the regulatory decision makers and the people who give
13 advice to the American public. And I have the feeling
14 that all of us at EPA would agree that we have come away
15 with a much better understanding on the science side and
16 consensus about what we can and what we can't and what we
17 should and shouldn't do. And that represents a very
18 successful piece of work by the SAP. And for that, we're
19 grateful.

20 DR. HEERINGA: yes. And on behalf of the Panel

264

1 itself and the SAP, I would like to thank all of the staff
2 of the EPA represented here by Dr. Ozkaynak and Dr.
3 Zartarian, Dr. Chen and Dr. Dang as well as the other
4 representatives of the EPA for the presentation and the
5 results. Obviously, the materials that have been
6 assembled.

7 I would also like to thank all of the other
8 participants in this process over the past three days.
9 there's been tremendous exchange of information a lot of
10 which is going to being shipped back to my office. We
11 appreciate this. I think there hasn't been to much held
12 back here in terms of presentation of results. Some
13 things almost right up to the last few days. And I think
14 that's informed the process and helped us to proceed.

15 And to panel members who have agreed to serve on
16 the panel for the past three days, my thanks to you. I've
17 been a member of many of these ad hoc panels and have
18 observed these processes. And I think in particular with
19 regard to presentation of responses to questions that this
20 group was particularly well prepared and well organized in

265

1 their thinking. And I think that allowed us to remain on
2 schedule and focused on the task. So thank you to all of
3 you.

4 And at this point, I'll ask Paul Lewis if he has
5 any additional closing comments.

6 MR. LEWIS: Thank you, Dr. Heeringa.

7 Let me just again express our thanks to Dr.
8 Heeringa for serving as our session chair for this meeting
9 over the past three days. This is, I believe, your second
10 meeting, and you did a wonderful job keeping us on time
11 and focused and moving forward on the issues and
12 deliberations we had in the past three days.

13 And thanks also to the Panel for your very
14 helpful insight and analysis and all of this will be
15 helpful for our colleagues at EPA in terms of reviewing
16 your remarks.

17 Members of the panel, again, let me remind you
18 that if you have any written comments, to share them with
19 the report coordinator, that is Dr. Macdonald, and also
20 the lead discussant on the particular questions that you

266

1 were assigned to. And I'll be working with you as we move
2 hard forward in preparing our final report.

3 Again I want to thank my colleagues in the SAP
4 staff sitting over here to my right for all their help in
5 organizing this meeting with me and making this meeting a
6 success.

7 Thank you, Dr. Heeringa.

8 DR. HEERINGA: Thank you very much, Paul.

9 And I guess with that I'd like to call this meet
10 to go a close with my thanks to everybody and save travels
11 for those of you returning home.

12 [Session was adjourned at 3:45

13 p.m.]

267

CERTIFICATE OF STENOTYPE REPORTER

I, Jane F. Hoffman, Stenotype Reporter, do
hereby certify that the foregoing proceedings were
reported by me in stenotypy, transcribed under my
direction and are a verbatim record of the proceedings
had.

JANE F. HOFFMAN

268

1 **I-N-V-O-I-C-E**** ****I-N-V-O-I-C-E****

2 JANE F. HOFFMAN

3 TODAY'S DATE: 12/23/03

4 DATE TAKEN: 12/5/03

5 CASE NAME: EPA Conference

6 **TOTAL:** -- **PAGES:** 309

7 SPECIAL INSTRUCTIONS: Conference rate / \$150 appearance
8 fee