

US EPA ARCHIVE DOCUMENT

FIFRA SCIENTIFIC ADVISORY PANEL (SAP)

OPEN MEETING

AUGUST 29, 2002

VOLUME III

Located at: Sheraton Crystal City Hotel
1800 Jefferson Davis Highway
Arlington, VA 22202

Reported by: Frances M. Freeman

C O N T E N T S

1
2
3

Proceedings.....Page 3

1 DR. PORTIER: Good morning. I want to
2 welcome you to the FIFRA Science Advisory Panel
3 for I guess today is Thursday, August 29th, on
4 Corn Rootworm Plant-Incorporated Protectant Non-
5 Target Insect and Insect Resistant Management
6 Issues.

7 Today we'll be finishing up our
8 discussion on insect resistant management issues.
9 I'm Chris Portier, I'll be chairing the session
10 today. I want to begin today by reintroducing the
11 panel members, have them state their name
12 affiliation and a brief background of what their
13 research is and this morning we'll start with Dr.
14 Hellmich.

15 DR. HELLMICH: I'm Rick Hellmich, I'm
16 from the USDA/ARS, Ames, Iowa. I'm a Insect
17 Ecologist specializing in insect resistant
18 management and non-target issue requests Bt corn.

19 DR. FEDERICI: I'm Brian Federici from
20 the University of California at Riverside,
21 Department of Entomology. I am an Insect
22 Pathologist; I work on the basic molecular biology

1 and genetic engineering and bacterial
2 insecticides.

3 DR. GOULD: I'm Fred Gould, North
4 Carolina State University, I work in ecological
5 genetics of insect adaptation to control measures
6 and have worked on resistance management with Bt
7 crops for a number of years.

8 DR. WEISS: I'm Mike Weiss, University
9 of Idaho,
10 Integrated Pest Management in corn systems.

11 DR. ANDOW: Dave Andow, University of
12 Minnesota, Department of Entomology; I'm an
13 Ecologist and I have focussed a lot on to the
14 ecology of insects and corn. I have been doing
15 work in insect resistance management.

16 DR. CAPRIO: My name is Mike Caprio.
17 I'm from the Department of Entomology at
18 Mississippi State University. I am a Population
19 Geneticist. I have focussed on resistance
20 management to conventional and Bt products.

21 DR. HUBBARD: USDA/ARS in Columbia,
22 Missouri. I have been working with corn rootworm

1 since 1986. And my research projects in Columbia,
2 Missouri include native host plant resistance,
3 resistance management and collecting biological
4 base or data on corn rootworm to fit the needs of
5 the models for resistance management.

6 DR. NEAL: I'm Jonathan Neal, I'm an
7 Insecticide Toxicologist at Purdue University. I
8 do research on rotation resistant corn rootworm.

9 DR. WHALON: I'm Mark Whalon, Michigan
10 State University. I consider myself an Applied
11 Insect Pathologist. I have worked in insect
12 resistance management for my career.

13 DR. ROBERTS: I'm Steve Roberts, I'm a
14 Professor and Toxicologist at the University of
15 Florida, and Director of the Center for
16 Environmental and Human Toxicology there.

17 DR. THRALL: Good morning, I'm Mary Anna
18 Thrall. I'm a Professor of Veterinary Pathology
19 at Colorado State University.

20 DR. PORTIER: I'm Chris Portier, I'm
21 Director of the Environmental Toxicology Program
22 at the National Institute of Environmental Health

1 Sciences, and I manage the US National Toxicology
2 Program and my area of expertise is in statistics
3 and risk assessment.

4 I would like to welcome you all here
5 this morning. I know this takes a lot of time out
6 of your busy lives to address these issues, but I
7 think they are very important issues. Before we
8 start with the panel discussion our Designated
9 Federal Official, Mr. Paul Lewis, has some
10 administrative details for us.

11 DR. LEWIS: Thank you Dr. Portier, again
12 I would like to thank Dr. Portier for serving as
13 our Chair in this meeting during the course of
14 this week and for the members of the panel that
15 have spent a considerable amount of time preparing
16 for discussion we had yesterday and that will be
17 occurring today.

18 Just as a manner of reminder again this
19 FIFRA Scientific Advisory Panel is a Federal
20 Advisory Committee such that we'll be following
21 requirement for the Federal Advisory Committee Act
22 we have a docket where all materials available for

1 this meeting are available for public inspection.

2 This is an open meeting.

3 In addition, we'll be writing a report
4 that serves as meeting minutes that summarizes the
5 panel's recommendations and analysis for the
6 agency. The report should be available in about
7 four to six weeks.

8 In terms of today's agenda, if you
9 notice on the agenda, we were planning to end
10 around early afternoon. Again, the agenda times
11 are approximates. We have a whole day allocated
12 for discussion and we'll use the time accordingly
13 depending on what time we complete our discussions
14 today. Thank you, Dr. Portier?

15 DR. PORTIER: Thank you, Mr. Lewis.

16 Dr. Andersen, do you have any comments
17 and would you also introduce your panel this
18 morning?

19 DR. ANDERSEN: I would be glad to, thank
20 you. I think, unless there are any question that
21 are remaining from before issues, I think we have
22 resolved all of them. I don't think there are any

1 overnight question that the panel has posed to us
2 that we need to bring back. If I'm wrong let me
3 know.

4 Again, we will thank you for spending,
5 for some of you, the third day and for others just
6 the second. We recognize that this is a lot of
7 work, not only in advance of the meeting, during
8 the meeting, but also after the meeting. We all
9 certainly looking forward to your report and what
10 you will be talking about today.

11 I'm delighted to introduce the people
12 who will be working today on the issue of insect
13 resistance management continuing on with the
14 discussion from yesterday. To my immediate left
15 is Robyn Rose, then Dr. Sharlene Matten, Allen
16 Reynolds, and then Phil Hutton, and these are all
17 members of the Biopesticides and Pollution
18 Prevention Division.

19 And amongst us we will handle the
20 electronics for this morning, so the questions can
21 be up on the screen.

22 Thank you.

1 DR. PORTIER: Thank you Ms. Rose do you
2 have any issues from yesterday's presentations or
3 discussions?

4 MS. ROSE: No, I do not unless there is
5 any questions for our staff.

6 DR. PORTIER: Barring that then,
7 yesterday we ended our discussion by finishing
8 question two and we were getting ready to begin
9 question three which deals with models. If we can
10 have the question read to the panel?

11 MS. ROSE: There are four parts to
12 question three on models. Part A, the panel is
13 asked to comments on the product duration or
14 longevity of corn rootworm susceptibility
15 considered in corn rootworm IRM models.

16 DR. PORTIER: Dr. Caprio, you are the
17 lead discussant on this issue, but overnight we
18 had a table prepared for us. I'm going to leave
19 it to your judgment whether we should look at the
20 table and the assumptions on the model or hold
21 that for later.

22 DR. CAPRIO: I would like to see the

1 table, I guess.

2 Paul, is this the one I did?

3 DR. LEWIS: This is a table that is
4 titled from Fred Gould.

5 DR. GOULD: I don't know if we need to
6 discuss that right away.

7 DR. CAPRIO: I don't know what to say --

8 DR. PORTIER: That's fine, then let's go
9 straight to this question.

10 DR. CAPRIO: -- to this question I think
11 there is a wide variety of assumptions in the
12 different models concerning dispersal rates and
13 when it occurs. But we're dealing -- when you
14 deal with a high dose, a lot of these assumptions
15 are very critical. When we're dealing with
16 moderate dose perhaps there is a little leeway if
17 we think back to next doors's figures. As Fred
18 pointed out, it is a relative flatness. There is
19 still a lot of difference depending on how much
20 refuge you put out there.

21 I'm not exactly sure what they want from
22 this question. I guess the simplest answer is to

1 say that most of the models, if you take away the
2 extreme assumptions, are in the range of 10 to 20
3 years.

4 Is that what you are looking for with
5 this question, or do you want to clarify the
6 question a little more?

7 DR. PORTIER: Ms. Rose.

8 MS. ROSE: To some degree, we're asking
9 when developing a model, what is an acceptable
10 duration that we should also be looking at is 10
11 years enough, is 20 years enough? .

12 DR. CAPRIO: In a way, that's what I
13 look to you guys to tell us. That's really, from
14 my standpoint, a policy question rather than a
15 science question.

16 DR. PORTIER: Dr. Andow:

17 DR. ANDOW: Do I guess in terms of
18 duration, here is what I would say, that all of
19 the models that we have in front of us, and
20 virtually any model they can think of, would give
21 a product duration of at least three years.

22 The only case in which I can imagine

1 that would be faster than that is if you had a
2 very, very, high dose type event that was adopted
3 over 100 percent of the area, so that there was no
4 refuge. And then you might get failure in less
5 than three years.

6 But if the bar is just getting over
7 three years, there is virtually very few scenarios
8 in which you can imagine that it wouldn't last at
9 least three years. So that's one point.

10 The second point, then, would be if you
11 are looking, say, at 15 years with these low dose
12 products, then there are certainly cases where it
13 wouldn't go 15 years. And that's even some of
14 those, one of those cases is identified in the
15 interim IRM plan from the registrant.

16 But in many cases, it is going to hover
17 around 15 to 20, 25 years.

18 In order to get higher, substantially
19 higher, than that in the orders of 50 years there
20 are some models that predict that under some broad
21 conditions and other models predicted under
22 relatively narrow conditions.

1 I think all of the models would suggest
2 that increasing the refuge size would give you
3 quite a bit more once you get -- if you are
4 looking at refuge changes between 10 to 25
5 percent, you are not going to see much difference.
6 Once you get around up in the 50 percent range,
7 then you start to see substantial delays.

8 I think -- I'm pretty sure that all the
9 models are suggesting that.

10 MS. ROSE: Could I ask for one
11 clarification?

12 When you mentioned three years was that
13 with a 20 percent refuge, or no refuge, or when
14 you stated three years what was that based on?

15 DR. ANDOW: I was sort of saying, even
16 under the high dose case with no refuge, that's
17 about the only case in which are you going to find
18 it happening within three years under the models.

19 So I guess what I was saying is there is
20 virtually -- that virtually any case will get you
21 three years. Doing nothing will get you three
22 years. So it's a fairly low bar to get over.

1 DR. PORTIER: Other comments by the
2 panel? Dr. Whalon?

3 DR. WHALON: Thank you. I would suggest
4 a couple things. First of all, I concur with the
5 comments earlier about the issue of how long is a
6 policy issue and EPA should provide guidance
7 there.

8 I would, maybe, help EPA in the process
9 there by saying that there are several things that
10 are assumed in the process of developing an IRM
11 for a new transgenic corn plant and that is that
12 there is a precedent that exists for pest
13 resistance management plans for other registrants
14 in the past in transgenic plants. Also,
15 in this case, because we don't have a high dose
16 situation, we're introduced or faced with actually
17 a new, novel challenge. So I would back up and
18 ask a more fundamental question, and that is is a
19 refuge necessary?

20 I think the panel, I can't speak for the
21 panel, but from my perspective, I believe it is.
22 We believe that there is selection, or there is

1 evidence of selection, on the first instar though
2 at probably low levels, low to moderate levels.

3 And given that, then, a refuge even
4 given the current state of art of modeling and
5 what we don't know in the field seems to be the
6 prudent or the, following the principle of
7 conservation, the appropriate thing for the agency
8 to do.

9 Once that is established, then the issue
10 of how long becomes -- comes into view and so far
11 we have heard a lot of talk about 7 to 15 year
12 horizons.

13 My question there is, why not a more
14 sustainable strategy for these technologies a 30
15 to 50 year horizon? Why are we dictated by
16 conventional insecticide patent horizon when these
17 technologies have grower license agreements that
18 would presumably perpetuate the technology further
19 than a patent horizon?

20 So that historical paradigm may not be
21 applicable here and that may be worth some
22 discussion on the part of the panel to the agency.

1 DR. PORTIER: Dr. Gould.

2 DR. GOULD: I would like to get back to
3 the models and the assumptions. I agree with what
4 Mike and David said about most models having those
5 time horizons, but we are dealing with a new
6 situation with a beetle novel toxin. I think,
7 Chris pointed out earlier, that we're always
8 talking about in the models all the assumptions
9 are ten to the minus three or ten to the minus
10 four as initial gene frequencies.

11 Yesterday, Bruce brought up the point,
12 can we look at the survivors and see if we have
13 changed their resistance level? Quantitative
14 genetic variation can, as opposed to what was said
15 yesterday, be selected very rapidly if there is
16 enough additive genetic variance.

17 I want to at least say, if you are doing
18 a risk assessment, you have to recognize that all
19 the other work we have done is on this high dose
20 stuff where we had data on initial gene
21 frequencies at least in a couple of Lepidoptera.

22 Here we're starting with a new ball game.

1 If you are doing risk assessment, if you want to
2 look at potential worst case scenarios, we haven't
3 gotten science information to give us the
4 information about that. All the models are making
5 a certain assumption.

6 Those are pretty conservative on the
7 side of seeing slower resistance development. I'm
8 not saying that they are wrong; they are probably
9 right. But if you want guidance on risk
10 assessment the models are making that assumption.

11 DR. PORTIER: Any other comments on this
12 question? Dr. Caprio.

13 DR. CAPRIO: Just to follow up on what
14 David said about the three year time horizon.

15 I don't think anybody considers
16 resistance a threat. The question is really how
17 much do you cost during that time frame in terms
18 of changing resistance allele frequencies or loss
19 of susceptibility and so on.

20 It is more a question of potential
21 damage that you do, rather than out right
22 resistance, during that time period. So I think

1 it is wrong to suggest it is not a problem,
2 because we don't see the potential for resistance
3 evolving in these three years. That's not the
4 right question to be asking.

5 DR. PORTIER: Any other comments from
6 the panel?

7 Dr. Andersen, you have a questioning
8 look. I just have a clarification I would like
9 to make so that the panel is understood.

10 The agency has not set policy, I do
11 believe, it is policy, but the agency has not set
12 a policy of what actual absolute years to
13 resistance that we are looking at. We are
14 certainly doing it case by case considering all of
15 the factors. We have not set the bright line that
16 we might say of some of the places where we have
17 with other types of risk assessments.

18 DR. PORTIER: Dr. Whalon.

19 DR. WHALON: Personally, I think that's
20 a prudent view given the state of the science.

21 DR. PORTIER: Okay.

22 So if I understand what we have talked

1 about here, that in terms of what would be
2 predicted for longevity and duration of product
3 utility, we're probably looking at something in
4 the ten to even, maybe, 25 ranges. Our best guess
5 from the panel, although again, because of the
6 nature of the assumptions, we're not absolutely
7 certain that this is more in the research realm
8 currently rather, really in the more routine use
9 for regulatory work. And that's because of the
10 low dose effect.

11 We just haven't had enough experience
12 with that. And in terms of the comment about what
13 would be an acceptable number of years, I think
14 the panel is agreeing that for this type of
15 product, the more sustainable it is, the better it
16 would be.

17 And I think that's uniform across the
18 panel. I don't see anyone disagreeing.

19 DR. PORTIER: Dr. Andow.

20 DR. ANDOW: Not with that last point,
21 but elaborate a little bit on your first point of
22 summary.

1 I think that the exception that we
2 identified were a case that doesn't hold here, the
3 high dose case. And then Dr. Gould identified the
4 case where perhaps there is already substantial
5 resistance in natural populations.

6 The experiment that Bruce was talking
7 about earlier, that Lance Meinke was doing, could
8 help resolve that even before a plan could be -- a
9 sound plan could be developed.

10 DR. PORTIER: Noted. Okay, should we go
11 onto question B?

12 MS. ROSE: Question B reads considering
13 EPA's evaluation of the three models addressed in
14 the Monsanto submission, discuss the applicability
15 of each of the models for assessing the likelihood
16 of corn rootworm developing resistance to Cry
17 3Bb1.

18 DR. PORTIER: Dr. Andow, why don't you
19 go first this time.

20 DR. ANDOW: I just wanted to consult
21 with the lead discussant.

22 DR. PORTIER: Dr. Caprio can go first.

1 I'm just trying to be democratic.

2 DR. CAPRIO: It is hard to summarize all
3 these. I guess back to what I said yesterday,
4 there is a wide variety of assumptions about
5 dispersal and when it occurs.

6 Various assumptions about dominance and
7 some of those play a large role, particularly in
8 Dave Onstad's model and the Monsanto use of the
9 web-based model.

10 And I think they present a wide variety
11 of potential scenarios. The ultimate result is
12 that, you know, we're still talking something in
13 this 10 to 20 year or 25 year time frame despite
14 all those different assumptions.

15 The only one comment that I would make
16 is about the Monsanto model, which assumed
17 complete dominance, so their heterozygotes
18 survived at a rate comparable to resistant, fully
19 resistant individuals. And the result that that
20 gives you is that there is very little impact of
21 refuge.

22 And I would just say that that is not a

1 typical case that you would find from the other
2 models where dominance is not -- complete
3 dominance is not assumed. I would argue that even
4 in this moderate dose, there is a strong case to
5 be made for a refuge, a sizeable refuge.

6 I think Dave you mentioned earlier that
7 the curve on this goes up as one -- goes beyond 20
8 percent refuge, as one approaches 50 percent or
9 greater. I'm not sure if that is an adequate
10 answer.

11 DR. PORTIER: Dr. Andow.

12 DR. ANDOW: I guess maybe I could get
13 clarification from EPA. Are you want to go have a
14 very detailed discussion of the -- this model
15 assumes this, this and this, and this models
16 assumes this, this, and this.

17 MS. ROSE: Yes. And are these
18 assumptions are appropriate for this product and
19 insect pest?

20 DR. PORTIER: And potentially taking it
21 to the more general case of: are these models,
22 would you argue these models are supported for the

1 case of low dose products in general or is there
2 further development that needs to be made?

3 Since they were developed for high dose,
4 do they really transfer to low dose case or is
5 there additional research to be done? Would that
6 be part of it as well?

7 MS. ROSE: Yes.

8 DR. WHALON: Point of clarification.

9 DR. PORTIER: Dr. Whalen.

10 DR. WHALON: I'm wondering in this
11 context, then, if there is a presupposition in an
12 interim registration situation that there would be
13 responsibility on the registrant to actually
14 develop the tools necessary to assess whether or
15 not refuge a refuge is working.

16 DR. ANDERSEN: Those are decisions that
17 get made partly based upon the advice we have.

18 Here, you might want to look at what we
19 have done before as an idea of what we have at
20 least considered in the past models where models
21 became more and more important as one of the tools
22 we used in looking at resistance management plans

1 for the lepidopteran products for corn and cotton.

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

So we have asked actually of the Scientific Advisory Panel in the past how important models are and they have told us these are one of the important tools in looking at.

And that's why we consider it important to say how robust are the models that we have at hand? Are these ones that we think ought to really be further developed?

Should we start over, find another model or is it is this really useful? Then, how the agency goes about deciding what to we might ask the companies to do, or other ways to get that information we have to make those decisions.

But I think unless you disagreeing, and I don't think you are, I say a few panels in the past have told us models are good tools. Given that, what are the kinds of thinks things we should have in this.

I might say I know we have broken this into four sections but as you look at it, question

1 C actually does lay out some various parameters of
2 models that we thought were especially important.

3 They may not be the only ones, but maybe
4 you can advise us. Again, almost answering the
5 two questions at once.

6 DR. WHALON: May I rejoin on that, just
7 to say that I concur in this situation, I think,
8 that given conflicting information from the field
9 and the state of the science that models are
10 essentially all we have.

11 DR. PORTIER: So, in order to sort of
12 keep us focused, might I suggest that we discuss
13 in part B, then, the actual mathematical
14 constructs themselves. The assumptions that are
15 made in developing the models and for C, we go
16 specifically to the parameters that are entry in
17 to the models and the quality of those parameters
18 in the given case.

19 Dr. Andow.

20 DR. ANDOW: To talk about all the
21 models, generally, all the models generally are
22 discreet timed models which seems appropriate in

1 this case, meaning that each generation is handled
2 separately and then the models are updated.

3 In terms of another major distinction
4 among models is stochasticity or deterministic
5 models.

6 The store model is a stochastic model
7 and the other ones, I believe, are not stochastic.

8 Now, in terms of how stochasticity is
9 built into the store model, I have to say I don't
10 know. I'm not sure if this is the same model as
11 the one that I have seen before.

12 But the other ones that Nick has done,
13 and maybe Nick can comment on this if I'm correct,
14 have introduced stochasticity primarily in the
15 vital parameters of the vital demographic
16 parameters as in births and deaths.

17 Is that true in this case also?

18 DR. PORTIER: Dr. Storer.

19 DR. ANDOW: If it is a no, I would like
20 an elaboration as to where it is.

21 DR. STORER: Just for clarification --

22 DR. PORTIER: Please, introduce

1 yourself.

2 DR. STORER: Nick Storer, I commented
3 yesterday.

4 DR. PORTIER: And you are from?

5 DR. STORER: From Dow AgroSciences, I'm
6 sorry.

7 DR. PORTIER: Thank you.

8 DR. STORER: The model you have seen
9 previously has less stochasticity than is in this
10 rootworm model. Elementary stochasticium in
11 nearly all the processes affecting the genetics
12 and end population processes.

13 DR. ANDOW: In particular, in terms of
14 population variability, is this stochasticity
15 handled through the vital parameters and others
16 through the demographic parameters that are built
17 into the model?

18 DR. STORER: Correct.

19 DR. ANDOW: Then in terms of dispersal,
20 from place to place, is that handled stochastic?

21 DR. STORER: That is also stochastic,
22 yes.

1 DR. ANDOW: One last point, in terms of
2 your dispersal -- in terms of your dispersal
3 kernel, what is the shape of that kernel?

4 DR. STORER: That's a two dimensional
5 normal distribution modified by tractiveness (ph)
6 of the various different fields.

7 DR. ANDOW: Thank you. So the only
8 point I would make is that insect populations,
9 when you build stochasticity into the vital
10 parameters, you get a certain amount of variation
11 from year to year, but there is a component of
12 environmental stochasticity that can be built into
13 models as well.

14 As far as I can tell none of the models
15 we are looking at here have environmental
16 stochasticity built into them. One easy way to do
17 that is push the population size randomly every
18 year in one direction or another.

19 Because insect populations fluctuate, a
20 lot of it is because the environment is changing,
21 so one way to model is to say, this is a bad year
22 and so the population is cut back and this is a

1 good year in the population. So that there is
2 sort of demographic stochasticity and then there
3 is environmental stochasticity.

4 So far, most of our models have not
5 handled that part, and then the other part --

6 DR. GOULD: Could I interject? Indeed,
7 Nick has one part that is environmental
8 stochasticity in terms of field assignments, which
9 is a major part of the environment is that field
10 assignments are stochastic in terms if you are
11 going to change a placement of the fields and how
12 close they are, that's also very important
13 environmental.

14 DR. ANDOW: So I would say that the
15 patch models assume a random assignment to fields.
16 So a particular form of particular realization of
17 a stochastic process.

18 So that to some extent having explicit
19 spatial model and randomly assigning fields is
20 going to be very similar to what you get with the
21 deterministic patch models.

22 But that's -- I guess I'll have to come

1 back to that.

2 So, to summarize so far, there is the
3 issue of discreteness versus continuousness. I
4 think models are appropriate there on
5 stochasticity, there is still issues of
6 stochasticity explore.

7 This is the other point, the population
8 dynamics models on all of the models are
9 relatively simple population dynamics models and
10 the exploration of other aspects of population
11 dynamics that has not yet been done. Those are
12 sort of general limitations of all of the models.

13 Now, in the case of the low dose events,
14 there are certain elements of the population
15 dynamics that could be important, whereas that
16 very from the high dose events.

17 And for example, the actual population
18 sizes coming out of the Bt field versus the non Bt
19 field can have fairly substantial impacts. So it
20 is probably worth exploring those things.

21 Then to get into the details and maybe
22 to go through it, and maybe combine question C at

1 this point, and sort of go through the different
2 parameters and the assumptions that they are
3 making. Is that appropriate?

4 DR. PORTIER: Let me ask a couple
5 questions, Dr. Andow and Dr. Caprio, and please
6 Dr. Gould, feel free to jump in.

7 I want to try to understand these
8 models, because I don't think we have yet answered
9 the question that EPA has posed to us, which is
10 what would you say is a good model versus a better
11 model? Let's put it this way we won't call it a
12 bad model.

13 I understand the discreet time event
14 model, no problem as compared to continuous time
15 event model, stochasticity in any model can be
16 enter in any number of ways, so even discreet time
17 models have rate constancy.

18 In this case, are the rate constants
19 probabilities? That's what makes them stochastic?

20 Okay, so I understand that. In
21 addition, you can put prior distributions on a
22 variety of parameters that are part of the model

1 and when you were talking about field
2 characteristics and the kernel for movement, that
3 is, in fact, a prior distribution for parameter
4 that goes in a model?

5 Am I correct in assuming that? So
6 then I'll ask you the question, in general, the
7 more stochasticity that you put into a model,
8 given equivalent models, one which is
9 deterministic and one which is stochastic, with
10 exactly the same basic format, would you agree
11 that the stochastic model is the better choice?

12 DR. ANDOW: No, I would not. The
13 stochasticity -- it is important that
14 stochasticity is in the model where stochasticity
15 is likely to have effects, not where it doesn't
16 have effects.

17 And so for example, if you look at the
18 populations size that we are tending to deal with
19 corn rootworm, even under -- in the Bt corn we're
20 still getting very large populations in the field
21 with the 20 to 30 percent survival say in a one
22 acre field you are still getting thousands of

1 beetles.

2 Now when you get thousands of beetles it
3 means that, essentially, the population size is so
4 large the demographic stochasticity is going to
5 have very little influence on any of the results
6 you can possibly imagine coming out of that model.

7 So it is really unnecessary to build
8 in demographic stochasticity into many of these
9 models. What we do know is that, or what we
10 believe, is that the movement may be important.
11 And some of those movement events may be rare
12 events, in which case then we would be concerned
13 about building stochasticity into the movement
14 process if, in fact, the rare event and one can
15 sort of look at these models and look at their
16 intermediate output and find out how many
17 individuals are actually moving to find out is
18 this rare enough that it is going to be
19 stochastically variable enough.

20 But anyway that would be the way to
21 approach it. I think there are some parts of the
22 model that it is unnecessary to have stochasticity

1 and, in fact, make it more confusing in terms of
2 having to think about it as opposed to eliminating
3 that from the model.

4 DR. PORTIER: Dr. Gould.

5 DR. GOULD: I agree with Dave completely
6 that adding stochasticity where you have very
7 large numbers and don't have anything is not a
8 useful scientific enterprise.

9 But I think if you look at stochastic
10 models that have been built especially for the
11 movement parameters and field placement and things
12 like that, you will see you get very different
13 answers than you get with a general model that
14 assumes two patches.

15 I think it is worth -- one of the
16 reasons I was trying to get Nick's paper to be
17 part of the record, if you look at his rootworm
18 model, you see that especially with small refuges
19 and other things, that the stochasticity enters in
20 dramatically, so I would not dismiss that.

21 I would agree with Dave, when it is not
22 necessary a stochastic model is not any better

1 than a deterministic model. But when it comes to
2 situation where there are some rare events and
3 possibilities, that's important.

4 We have to recognize that we're dealing
5 with an insect that has strong population
6 structure at least in some areas of the United
7 States, it seems like, where it moves less -- you
8 have to recognize that we have millions of acres
9 of corn with thousands of subpopulations and
10 that's kind of place where stochasticity could
11 matter. I'm not saying it does, but we in the
12 business here of risk assessment, not of coming up
13 with heuristic models in some cases here. I want
14 to move to --

15 DR. PORTIER: Let me give you my comment
16 on this. I have some concern with your comments.

17 So most of what we have discussed is,
18 then expected time to failure, if you really want
19 to call it that. And yet we haven't discussed the
20 probability of failure in an expected time, which
21 is another characteristic that should be, I think,
22 used in the risk assessment process. Without

1 competence to stochasticity in the models, it is
2 impossible to calculate that probability all you
3 can get is the expectation.

4 DR. ANDOW: I would differ a little bit
5 on that point, because as you were pointing out
6 you could use priors. I don't consider building
7 in priors to be building in stochasticity the
8 model.

9 So one can use prior distributions and
10 the posterior result to get some idea of variation
11 based on what we know as opposed to stochasticity
12 which we might just build in a certain amount of
13 noise that could generate additional.

14 In any event, I think when you have a --
15 if you have something such as population size
16 which is so large, and you are looking at the
17 stochastic nature of births and deaths when have
18 you such a huge population, that population tracks
19 the expected value very closely.

20 And the reason why it doesn't sort of
21 track that is not because of the stochasticity of
22 the demography, but it is because of other things

1 that aren't in that model. So I think will is
2 still a good argument to eliminate certain amount
3 of stochasticity.

4 But before, I just wanted to signal that
5 other big issue we need to address here is the use
6 of space versus non space. I think some of Fred's
7 comments were sort of mixing the two together,
8 stochasticity versus space.

9 And I think we'll get to that in a
10 little bit after we finish this discussion.

11 DR. GOULD: I want to make this point.
12 I do think it is an important aspect of a
13 spatially explicit stochastic model, there are
14 these two things.

15 I think Dave is correct. We could mix
16 these a little bit too much, stochasticity in the
17 space, stochasticity in demographic parameters. I
18 guess what I wanted to get at in Nick's model in
19 terms of small numbers, and what happens. All of
20 our models are looking at single allele cases and
21 typically going towards the end towards the high
22 dose and this is history precedence.

1 I think if you ask the question, and you are
2 asking how appropriate are the models, I think
3 we're -- we're looking at this fine tuning. We
4 can get into our academic discussions and loose
5 track of the fact that all of these models are
6 single locus models and there is a good reason for
7 them being single locus. Because when you are
8 dealing with a high dose, the only kind of an
9 allele that can give you any survival, is an
10 allele that confers more than 25 fold resistance
11 to the heterozygotes.

12 That's very important to understand.
13 When people have looked at how common those kind
14 of alleles are, they are not very common.

15 So what I want to introduce is the fact
16 that when you are dealing with a moderate dose,
17 we're dealing potentially with having a lot more
18 alleles, that if you have 60 percent survival, any
19 allele that gives you 65 percent survival at that
20 dose, is selected for.

21 So you can have potentially a polygenic
22 kind of trait that you are dealing with, and it's

1 a very different kind of situation. I want to add
2 to that we have one case of release of transgenic
3 plants where we have a moderate dose for
4 *helioverpa zea*. Studies that have been done by
5 J.R. Bradley, his students, I've collaborated with
6 it. Published information shows that there is
7 quantitative genetic variation for adaptation.

8 I think if we want to ask what is the
9 appropriate model we at least need to consider the
10 fact that we're over here on this one extreme
11 looking at single allele models when we have to
12 ask more about quantitative genetic variation, the
13 populations and Bruce was getting at that.

14 DR. ANDOW: Before we switch from
15 stochasticity argument, we'll come back to the
16 discussion, yes, I would like to make sure that
17 the panel finishes off the stochasticity
18 discussion.

19 DR. PORTIER: Dr. Caprio.

20 DR. CAPRIO: I think we're also
21 forgetting another form of stochasticity, or what
22 I have termed uncertainty, which is really

1 related to our uncertainty in those input
2 parameters and that's one of the things we have
3 been trying to look at, is finding ways to
4 systematically or to formalize that un certainty
5 that we have on these parameters. It
6 is sort of related to sensitivity analysis but it
7 does as you mentioned come out with an answer of
8 what is the probability of lasting for a certain
9 time frame.

10 It is related to stochasticity in the
11 model, but it is related to our uncertainty and
12 how certain we are in these various parameters.
13 If we are fairly certain in a parameter, we can
14 make a relatively narrow distribution for
15 variation in that input parameter.

16 If we're less certain about it, we
17 increase the variance and then we -- the way we
18 have been doing it with it the corn rootworm model
19 I have been working on is just randomly -- each
20 run of the model we randomly assign parameter
21 models based on these distributions.

22 And then run thousands of simulations

1 and look at how many of those meet a time frame or
2 the distribution of those results. And that adds
3 stochasticity into it, but it is different than
4 what we have been talking about right now.

5 DR. PORTIER: Dr. Andow.

6 DR. ANDOW: Just to clarify. I think
7 what we were referring to there Mike, was this
8 idea of using prior distributions and then looking
9 at the subsequent posterior distributions of the
10 output parameters.

11 So that would be a very important thing
12 to do. The only models -- there are no models in
13 this package that look at the problem that way. A
14 previous model that you have worked with is one
15 developed by Terry Hurley, and that was built up
16 in that way.

17 I think sometimes he referred to that as
18 stochastic, but it is really not stochastic, it is
19 dealing with uncertainty.

20 DR. PORTIER: Can I ask a question on
21 that? Then I want to get back to another issue.
22 Do any of the modeling approaches use true basion

1 prior techniques?

2 A resampling technique with a rerun of
3 the model is more of a marginal rather than a
4 posterior distribution.

5 DR. ANDOW: I would say that Hurley
6 model is set up to do that, but the iteration
7 process has not been done yet.

8 DR. PORTIER: Dr. Caprio.

9 DR. CAPRIO: In the corn rootworm model
10 that we're working on, and this is not for Bt,
11 this is for methylparithion, we use that basion
12 paradigm to look at the likelihood of initial gene
13 frequencies, something that we can't go back and
14 measure.

15 They are set up to be able to do that.

16 DR. PORTIER: So you are using something
17 like a markup chain Monte Carlo to get the
18 posterior from the resampling.

19 If this is technical, then I'm sorry.

20 DR. CAPRIO: No, we're not doing that.

21 DR. PORTIER: But I think that would be
22 useful. It is true in my field as well. We do a

1 lot of modeling where we do resampling up front
2 and just present the results of the resampling as
3 the variation in the predicted term.

4 And that's not exactly the same as
5 getting a posterior distribution which
6 statistically is a stronger finding.

7 DR. CAPRIO: Right.

8 DR. PORTIER: Now getting back to the
9 birth, death process and I'll pick on that one for
10 a minute, because it seems to me, again, I have to
11 go back to my experience which is in cancer
12 modeling.

13 In cancer modeling the selection that
14 goes on is for an extremely rare event. We're
15 look at 1 in 10 to the 8th cells that has to be
16 clonally expanded out to actually produce the
17 tumor. That is what most of the model looks like.

18
19 Even with that many cells around, all of
20 them are pretty much normal except this one rare
21 event. And failure to consider that as a
22 stochastic process actually does have implications

1 on the probability of failure or probability of
2 getting cancer.

3 Is that not the case here for rare
4 allelic resistance frequencies? Dr. Andow.

5 DR. ANDOW: It can be the case for the
6 allele frequencies, but we tend to be handling
7 those as frequencies rather than as numbers and
8 we're dealing with the population size as a
9 separate parameter.

10 And the population size numbers in the
11 minimally thousands and upwards up into the
12 hundreds of thousands. So, stochastic variation
13 in birth death process would be like trying to
14 model, of those eight million cells what is the
15 likelihood, if you know that the growth rate of
16 cells is X , how much variation are you going to
17 see around that million rather than the rare
18 events.

19 So the rare event is important to model
20 stochastically, but the common events are less
21 important that way.

22 DR. PORTIER: So if I understand it,

1 what are you doing is the stochastic nature of it
2 is whether or not the rare allelic frequency
3 mates.

4 But once have you done that pretty much
5 the growth of the population becomes a
6 deterministic process which we have also worked
7 on.

8 Dr. Gould and then Dr. Caprio

9 DR. GOULD: We have had quite a bit of
10 experience of high dose models using
11 stochasticity.

12 My sense is it is not just whether that
13 allele ever gets to mate the first time and if you
14 run models with very high doses where you have
15 very rare events, you often get extinction region
16 wide of the resistance allele. Which is not
17 something you get when you do frequencies.

18 I have done a lot of very deterministic
19 models and you have a gene frequency hanging in
20 there below one individual population for very
21 long time.

22 I don't want -- this is all for very

1 high dose kinds of modeling when you have rare
2 events. I want to emphasize you are all correct
3 academically to have these concerns, but it
4 changes a lot when you are dealing with 60 percent
5 mortality instead of 99.9 percent mortality of
6 susceptibles.

7 DR. PORTIER: Dr. Caprio.

8 DR. CAPRIO: I was going to comment on
9 correct Dave, in that I think the store model, I
10 certainly know the ones that Fred has worked with
11 are essentially individual based models, in other
12 words, there is either an allele out there or it
13 is not out there.

14 As Fred mentioned extinction gets to be,
15 when you deal with these high dose things, is a
16 big problem.

17 So there are go very different ways.
18 And that's one of the reasons why I got into the
19 stochastic modeling is looking at gene frequencies
20 10^{-14} and saying that resistance is
21 going to evolve in that. It is incredibly rare
22 that that gene or that allele would survive in

1 that case.

2 So there are two very different ways to
3 handle it. One is frequency and population size.
4 The other are people who are actually doing what
5 are individual --essentially individual based
6 models, and counts of individuals and genotypes of
7 individuals.

8 I'm fairly sure from looking at Nick's
9 paper that he has actual counts of individuals.

10 DR. PORTIER: Anyone else? Dr. Hubbard.

11 DR. HUBBARD: I have one comment that I
12 planned to mention during the refuge section, but
13 it has to do with modeling. I think it follows up
14 on Dr. Gould's comments fairly well.

15 Organophosphate soil insecticides
16 applied in seven inch bands or in furrow or in
17 combination there have been used there for more
18 than 30 years without a structured refuge and
19 without the development of resistance.

20 The production of beetles from
21 traditional insecticides ranges from 27 percent of
22 the untreated checked to numbers greater than the

1 untreated checked with the high production of
2 beetles one could conclude that tradition soil
3 insecticides are low dose, and have a built in
4 refuge which produces susceptible adults.

5 The question then is whether beetles
6 produced from fields treated with soil
7 insecticides experience a low dose of insecticide
8 or no dose of insecticide.

9 Although the dogma of beetles being
10 produced from insecticide treated fields coming
11 from roots outside the treated band may be
12 familiar to many of us, I'm not aware of
13 literature documented yet.

14 Sutter, et al., 1991, the manuscript
15 cited by Dr. Storer, in the document that was
16 passed out yesterday did not include this
17 conclusion in the abstract. I was not able to
18 find the whole manuscript in the literature, but
19 we do know that the normal behavior of older
20 larvae is to migrate to new nodes of roots as they
21 come out of the stock.

22 That would bring them into the

1 insecticide treated zone. Recent data my group
2 has collected seems to imply that western corn
3 rootworm larvae may require these younger roots to
4 complete development to adult stage.

5 I believe that is likely that all
6 beetles emerging from ground treated with soil
7 insecticides have received a sublethal dose of
8 insecticide. Translate that into our current
9 dissections, a low dose.

10 In any event, I believe that this system
11 is important to understand because resistance has
12 been delayed for more than 30 years. If modeling
13 efforts could focus on simulating why the soil
14 insecticides system has worked so well, perhaps, a
15 better understanding of the adaptation of
16 transgenic events could be garnered.

17 As mentioned by Dr. Whalon yesterday, it
18 is possible that selection place on larvae exposed
19 to Cry 3Bb1 may be low. We do not know, but this
20 scenario of delaying resistance to soil
21 insecticides as a low dose may delay resistance to
22 MON 863, also a low dose.

1 DR. PORTIER: Other comments? Dr.
2 Andow.

3 DR. ANDOW: I just wanted to see if
4 there were any other comments directly on the
5 stochasticity problem because it's a new issue
6 that is being brought up, and if not then we can
7 go, sort of, to the next issues.

8 DR. PORTIER: If we could just
9 summarize the stochasticity, I guess we would
10 summarize it to say, that some is good, don't get
11 carried away, and that given equivalent models one
12 fully determine and one with well thought out
13 stochastic variability included into it, that the
14 stochastic would be preferable. Because it will
15 give you a broader range of prediction with a
16 probability included.

17 DR. ANDOW: Primarily because of the
18 variance that you get out. So you would want to
19 have the variances reported.

20 DR. PORTIER: Correct. Are we agreeing
21 to that?

22 DR. GOULD: I would agree with that.

1 DR. PORTIER: So now let's go to the
2 second half of this. Dr. Andow.

3 DR. ANDOW: There are a couple more
4 issues even before we get to the pesticide one.

5 So Dr. Gould brought up the issue of
6 single alleles as being the basic underlying
7 assumption. He pointed out in some occasions it
8 arises out of the high dose considerations, but
9 also out of the consideration of taking a worst
10 case scenario.

11 Because under the single allele cases
12 will always result in faster evolution than the
13 multiple allele cases or the quantitative cases.

14 DR. GOULD: I would like to take
15 exception with that when I can.

16 DR. PORTIER: Dr. Gould.

17 DR. GOULD: That's true if the allele
18 frequencies are the same, but the driving force
19 and quantitative genetic variation and response is
20 additive genetic variance.

21 When you have a high additive generic
22 variance of multiple alleles response is much

1 quicker than when you have a very low gene
2 frequency of a single allele. So I
3 would say it all depends on whether you are
4 talking about something that is initially starting
5 with the same amount of additive genetic variance
6 from a single allele versus a multiple alleles.

7 You might expect a more rapid adaptation
8 with a single allele, because additive genetic
9 variance increases very rapidly as frequency
10 increases, and not with the additive case.

11 But that's not always true. I think
12 there is plenty of evidence in Indler's book on
13 natural selection in wild populations would show
14 that.

15 DR. ANDOW: To respond, I think what I'm
16 talking about is underlying the genetic lying
17 architecture of the resistance trait.

18 I think what you pointed out is that
19 under the quantitative case, the assumption is
20 that the gene frequencies are quite high.

21 If you are to put the single allele case
22 at the same gene frequencies to the same additive

1 genetic variance, you would find the single
2 allele case would give faster evolution.

3 DR. PORTIER: Dr. Caprio. You wanted to
4 jump into this.

5 DR. CAPRIO: I will point out quite a
6 while ago, I was using a two gene model and was
7 comparing the case of resistance with monogenic
8 versus the two gene model. And in the absence of
9 a refuge, you got exactly what you would expect,
10 that resistancy evolved the same whether it was
11 two genes or one. But in the
12 presence of refuges the two gene model took much
13 longer to evolve. Apparently that movement of
14 susceptible broke up linkage among those genes.
15 So there is in this question, I think, some impact
16 of refuges that at least from my experience is
17 more negative for polygenic resistance than it is
18 for monogenic resistance.

19 DR. PORTIER: Dr. Gould.

20 DR. GOULD: We have worked a lot with
21 two locust models and comparing them with single
22 locust models, and I agree we have those same

1 results when what you wind up with is interactions
2 between the alleles. When you
3 assume an additive model of interactions of the
4 multiple alleles in the two locust model you get
5 the same result as you get with the one locust
6 model. It's a whole issue, I think Dave brought
7 up too, if you keep the additive genetic variance
8 the same in the one locust model and the multiple
9 locust model, they evolve at exactly the same
10 rate.

11 The whole thing about the single locust
12 is that because single allele is having such a
13 major effect, you don't have a normal distribution
14 of your variation you have bimodal, or whatever,
15 distribution which gives you more additive genetic
16 variance.

17 We have to be very careful when you make
18 the comparisons of a polygenic model to additive
19 model. I just want to finalize by saying we're
20 dealing with a moderate or low dose effect where
21 you're not expecting as much epistatic
22 interactions among the genes. I can't

1 tell you what you are going to get. You have the
2 possibility of more alleles. I think we have to
3 be careful about that if we're doing risk
4 assessment.

5 DR. PORTIER: Let me jump in a little
6 bit. I don't think the issue we're discussing is,
7 in fact, devoid of Dr. Hubbard's comment, in the
8 sense that the data that he cited, the suggestion
9 he has made concerning potential low dose effects
10 from chemical insecticides could well inform the
11 question we're asking on modeling.

12 So we haven't stepped totally away from
13 what he was saying.

14 One thing that bothers me in the entire
15 discussion we have had up to this point, we're
16 focussing on polygenetic versus single allele
17 effects, polygenic versus single allele effects,
18 and yet we haven't talked about the mechanism of
19 action of the agent that we're looking at.

20 What its targets are and some idea about
21 looking at those targets and potentially deciding
22 whether, in fact, we might have a polygenic form

1 for the target, if it is a cellular receptor of
2 some sort or it is a specific cellular process
3 that is governed by a half dozen proteins.

4 Snips in those proteins, potential other
5 more complicated polymorphisms, in those proteins
6 and the genes that make the proteins may well lead
7 to the resistant allele you are looking for.

8 I would think that one could also target
9 some mechanistic research in terms of the effects
10 in the insects themselves to try to decide what
11 potential mechanistic model might play a role in
12 terms of the identification of the resistant gene
13 type.

14 Dr. Hellmich.

15 DR. HELLMICH: Most of us in this room
16 are used to the high dose model like Fred has
17 commented on. The entomologist in the group are
18 always trying to figure out, well, what are the
19 parameters that are most important and what is the
20 research that needs to be done that is driving
21 that.

22 In the past it has just been gene

1 frequency, heterozygosity, movement have been
2 important parameters. I'm getting the sense that
3 some of these, such as movements we discussed
4 yesterday, is not as important as it is with this
5 low dose.

6 So my question is what are the important
7 biological parameters getting at some of what you
8 are talking about that we get into when we get
9 into this polygenic, low dose situation.

10 Are there things that we should be
11 exploring that we aren't?

12 And I, so far, I haven't found anything
13 that is concrete that we need to do. We need to
14 get more information on this, this and this.

15 I'm coming away from here saying or
16 thinking that there is no research, biological
17 research, that needs to be done relative to this
18 product. I can't believe that's true.

19 DR. PORTIER: Dr. Andow.

20 DR. ANDOW: I think that we're supposed
21 to be addressing that specifically in part C here,
22 where we talk about specific things.

1 In terms of addressing the single allele
2 case, I guess I would like to try a summary -- not
3 a summary but point out that a lot of the work
4 that has been done on the genetics of resistance
5 would suggest that it's possible that there is --
6 that there would be a single allele in this case.
7 It is also possible that there will be multiple
8 alleles.

9 And in terms of which is more likely,
10 I'm not sure I would be willing to put my money
11 down, but I certainly wouldn't -- I certainly
12 wouldn't be willing to bet against either of them.

13 DR. PORTIER: Is that a consensus, that
14 at least addresses the likelihood question? Dr.
15 Caprio.

16 DR. CAPRIO: I think that it's an old
17 paper now, that Dave Heckle put out. He listed
18 something like eleven different potential
19 mechanisms he's kind of thinking about it. And a
20 lot of those come into play when you have a low
21 dose.

22 We are so used to thinking about these

1 receptors and so on with high dose products. This
2 low dose opens up all sorts of possibilities that
3 we would not normally consider.

4 We have some of these colonies that have
5 50 fold resistance and broad based cross
6 resistance and so on, that we don't normally think
7 about with high dose products.

8 I think we have to remember, like Fred
9 has pointed out a number of times, when we are
10 dealing with a low dose product, it's a different
11 ball game. It is hard for us to really -- we
12 don't have the experience to know what is most
13 likely in this case.

14 So it makes your charge to think about
15 possible mechanisms difficult because there are so
16 many potential mechanisms and we don't have
17 experience with these to know which are most
18 likely.

19 DR. PORTIER: Any the other comments?
20 Dr. Gould.

21 DR. GOULD: I want to give some credence
22 in what Bruce was bringing up in a lot of detail.

1 I think that's a very good research idea. We are
2 paying more attention to Bts and resistance
3 management than we have to soil and insecticides.

4 Maybe people wanted to get rid of soil
5 insecticides because they didn't like them, I
6 don't know. The thing is to go back to that
7 research question and ask, is there really a
8 refuge or is that something we have dreamed up in
9 that case.

10 The same kind of question can then be
11 posed with these Bts again we haven't gotten the
12 data. I think the discussion yesterday about
13 knowing what the selective differential is, again,
14 to get back to your question what is the research
15 agenda we'll get to it later. I don't want to
16 diverge too far. But just, at least, to respond
17 to an important comment.

18 I would also go back to Nick Storer's
19 model that is on the docket right now, what he did
20 to validate his model is gone back to the cases of
21 resistance developing to insecticides, looked at
22 what the selective pressures are, look at what his

1 population structure is, and see if his model
2 predicted what happened with the insecticide
3 resistance and nicely, I guess I would say, it did
4 so that's somewhat similar to what you are asking.

5 DR. HUBBARD: The case that he
6 documented were with high dose products though.

7 DR. GOULD: I think you have to be very
8 careful with what you call a high dose product.

9 Could you tell me what you meant by,
10 those are high dose products?

11 DR. HUBBARD: I would consider crop
12 rotation a high dose, because everything that is
13 laid in soybean fields dies unless there is a weed
14 or something there. Every beetle that tries to
15 grow on soybean roots dies, so I would consider
16 that a high dose.

17 DR. GOULD: Let me respond to that. I
18 think what we know is that there has always been
19 or data indicates there has always been like a low
20 proportion of two year diapause before selection.
21 Right?

22 DR. HUBBARD: For northern corn

1 rootworm.

2 DR. GOULD: That's fine.

3 But when you say it is a high dose, it's
4 a high dose in terms of surviving on soybean
5 roots. It is not a high dose for the northern
6 corn rootworm in terms of being able to die pause
7 for two years.

8 So the genetic mechanism around it, I
9 think we always have to get away from thinking of
10 direct adaption, there is indirect ways around
11 things and that is one of them is to wait two
12 years.

13 They didn't evolve to adapt to feed on
14 soybean roots they adapted to have a higher
15 proportion of the individuals diapausing for two
16 years.

17 Now with the western corn rootworm, the
18 issue is the way that they deal with this is they
19 go into soybean fields and lay eggs. You
20 consider that a high dose, because if you don't go
21 into the soybean field, and then you have soybean
22 roots to feed on, again it is a high dose.

1 But do we know that no individuals were
2 going into soybeans and laying eggs before that it
3 was indeed a high dose in that way? I'm not sure
4 that the selection would indicate that.

5 But I think it is important to look at
6 these things carefully.

7 DR. PORTIER: Dr. Hubbard.

8 DR. HUBBARD: Just a quick reply.

9 I think that Dr. Chang, when he looked
10 at the amount of natural populations that
11 contained extended the diapause, it was in the
12 range of zero to two percent.

13 So that's in the range of a high dose
14 perhaps. Maybe not quite not the 99.99 whatever.
15 So there was some there.

16 You are right. We don't know the
17 proportion of western corn rootworm adults that
18 laid eggs in soybeans previously or outside of
19 corn previously.

20 DR. GOULD: I'm bringing this up but not
21 to completely criticize, I think there is a lot to
22 be learned from looking at these comparisons.

1 We have an insect that seems to have
2 adapted a lot to insecticide. We know it is
3 capable of this because of its potentially, its
4 population structure. Understanding that would
5 help us. I agree with you on that part.

6 DR. PORTIER: I think you are in
7 agreement. I think are you both saying that there
8 are other avenues of data we could look at to help
9 inform this modeling exercise.

10 DR. ANDOW: I guess I would like to move
11 to the space issue. Is that okay?

12 And I'm going to connect it a little bit
13 with the stochasticity issue because we have a
14 spatial stochastic model versus nonspatial
15 discreet models.

16 The first part about stochasticity in
17 space is the grid size is really important. So
18 Dr. Gould's comment and Dr. Caprio's comment, that
19 in some of these stochastic models that allele
20 goes extinct. In large part that's because the
21 grid size is small.

22 Now, if you want to figure out how to

1 calculate how rare an event a spatial stochastic
2 model can actually model, if you think about
3 dispersal events, those are basically limited by
4 the number of cells.

5 So if you have a rare event, and you
6 have a 30 by 30 grid, then you are talking about
7 90 cells. So you are talking about on average
8 things that are rare on the order of 10 to the
9 minus 2. Things that are rare on the order of 10
10 to the minus 3, you would have to see lots and
11 lots of runs of this to have the likelihood of
12 picking it up. It will appear as an aberrant
13 event.

14 So if you want to get rare and rarer
15 events picked up, you are going to have to do more
16 and more runs. But the problem with small grids
17 is that there is a wrap around effect.

18 And that that can make it so that the
19 rare events are less likely to appear than you
20 would expect just by replication. So that's
21 something that would need to be investigated if
22 you are concerned about rarer events then 10 to

1 the minus 2 for a spacial grid associated with
2 dispersal.

3 Now, if you are interested in the issue
4 of population size, then you multiply those grids
5 by the average population size and anything that
6 is on the order of rarer than that, for example,
7 if your average population size is one thousand,
8 and have you 100 grid cells, then basically events
9 that are occurring on the order of rarer than 10
10 to the minus 4 are not going appear in those
11 models.

12 Again, you have to worry about wrap
13 around effects in order to get rare events. If
14 you are talking about things that are occurring 10
15 to the minus 5, 10 to the minus 14, you are going
16 to have to be very concerned about the scale of
17 the model itself. Because there are some event
18 that just won't happen.

19 The mathematicians deal with this by
20 treating the spacial grid as an infinite grid.
21 Events as rare as you can possibly imagine can be
22 appearing in the mathematical results.

1 DR. PORTIER: You lost me a little bit
2 in there since I would assume that as you increase
3 the grid size, you have to adjust the appropriate
4 rates for dispersal to cover the fact that you are
5 looking at smaller discrete units, and that as you
6 go to an infinite grid size you are actually
7 going to partial differential equations.

8 That's where your discrete event time
9 model is going to take you. That would again take
10 into account the issue, so I don't see why that
11 becomes a problem.

12 DR. ANDOW: Actually they go to infinite
13 lattices. They don't go to partial differential
14 equations, because partials are actually
15 approximations of infinite lattices and they
16 eliminate the effect of a lot of rare events. The
17 thing is that the grid size does limit how rare an
18 event you can expect to be thinking about in that
19 particular stochastic model.

20 That's the fundamental point.

21 DR. PORTIER: Dr. Caprio.

22 DR. CAPRIO: I would like to clarify. I

1 think what Dave was assuming was by changing that
2 grid size, you can either be simulating a finer
3 and finer spatial network or you can be looking at
4 a larger and larger area population.

5 Each patch remains the same size, but
6 instead of looking at 100 you are looking at
7 1,000. That's where you get more individuals than
8 10 times the individuals. And you have more
9 likelihood of picking up rare events.

10 And so there is a -- you can do it
11 either way, make it more fine scaled or make it
12 much larger scale.

13 DR. PORTIER: Dr. Gould.

14 DR. GOULD: I just want to agree with
15 David. There really is a limitation in stochastic
16 modeling at that level. Steve Peck's model, what
17 Nick Storer's model is based on when dealing with
18 very high doses can only start out at initial gene
19 frequency of 10 to the .03 or .01, or else you
20 always get extinction. This was in a lattice of
21 1200 or more fields.

22 So yes, when you are dealing with

1 regions of a million and asking what is going to
2 happen, it is important to look at that. However,
3 the stochasticity does, you need to take a look
4 at that, because when you are dealing with those
5 low probability events and you are making them
6 deterministic you lose a lot as well in terms of
7 that assessment.

8 Because what was shown in those models
9 is that the patchiness over multiple regions and
10 the stochasticity in terms of spatially, where
11 those fields are don't call it stochastic, call it
12 random or whatever placement really has a big
13 impact.

14 We're in that same boat where you guys
15 were asking us about what is a high dose. Here
16 with are talking about deterministic stochastic
17 models that would be a great discussion for a
18 panel to dealing with something that was coming in
19 for a high dose.

20 I'm not sure it is as relevant in this
21 discussion, because of what we're talking about.

22 So I think there is a whole academic

1 area that you could take a course in, in terms of
2 the argument between modelers who are doing these
3 empirical more stochastic and deterministic
4 models.

5 I don't know how far we want to go with
6 it. I think maybe this is enough.

7 Although, I think that the key point I
8 was trying to make is even in these models, if you
9 build in stochasticity, and you are trying to make
10 conclusions associated with that stochasticity.
11 You have to be concerned about how rare an event
12 you can actually be thinking about.

13 You can't be thinking, again, with a
14 small grid, you can't be thinking the about a very
15 rare event.

16 So I think that's just the main point.

17 DR. PORTIER: Any other points on this
18 question?

19 DR. ANDOW: Then the more general
20 question about space versus nonspace, I think the
21 issue has to do with to what extent do we need
22 spatial models and what do they get.

1 I think there are several things that if
2 you take average gene frequencies in a spatial
3 model, that they will tend to give similar results
4 as the nonspatial model.

5 But what space allows to you do is it
6 allows you to investigate very specifically how
7 the location of fields may be effected. So
8 questions like, does the refuge stay in the same
9 place is a question you can answer with a spatial
10 model that you couldn't answer with a nonspatial
11 model.

12 A question like how big of a column of
13 Bt fields becomes a focus for the essentially, the
14 evolution of resistance is a question you can ask
15 with a spatial model that you couldn't ask with a
16 nonspatial model.

17 It is important to understand what are
18 the new questions you can ask and to make sure
19 that those are the question that you get
20 information on. Not just sort of redoing the same
21 old questions over and over again.

22 DR. PORTIER: Dr. Gould.

1 DR. GOULD: I want to reiterate, I agree
2 with Dave that some things, the spatial model is
3 not useful for, but for questions about farmer
4 compliance, for questions about where those --
5 whether some farmers are adopting and others are
6 not, the spatial model gives you different results
7 than the deterministic model.

8 So in some cases you get resistance
9 developing in the spacial model and you don't get
10 resistance developing in a deterministic model or
11 advice versa. So those are pretty important
12 differences between the two.

13 DR. ANDOW: On the compliance issue, we
14 see that there are issues of compliance. One is
15 are they actually planting the percentage that is
16 asking for? The second are they planting it in
17 the right place?

18 You simply can't answer the question
19 about what is the effect of planting it in the
20 wrong place with a nonspatial model.

21 That's where you get the differences
22 between the two models is primarily on that side

1 of the question.

2 DR. PORTIER: Any additional comments on
3 part B?

4 I'm not going to summarize the
5 stochastic nature discussion again.

6 But I will cover the last few. I think
7 we noted that there is data out there that could
8 be potentially useful in looking at these low dose
9 effect types of events in trying to create better
10 models.

11 We talked about the use of potential
12 mechanisms as a guiding tool actually biological
13 mechanisms that drive the toxicity in the
14 species. And then as a general rule, in terms of
15 deciding what level of complexity you want in a
16 model, define your questions and that helps to
17 define which model will actually proof to be
18 better, the spatial issue we were just discussion
19 is one that is clearly part of that entire
20 paradigm of modeling.

21 Shall we move onto part C?

22 Let's go ahead and do question C. Do we

1 want to go down this list of parameters? We have
2 to have the question.

3 MS. ROSE: Please comment on the
4 appropriateness of the following input parameters
5 of these simulation models for corn rootworm
6 protected field corn, resistant allele frequency,
7 dominance of the heterozygote, movement of the
8 males and females mating and ovipositional
9 behavior and other genetic and behavioral
10 parameters.

11 DR. PORTIER: I will note that many of
12 these issues we have discussed in great detail in
13 parts of the other questions that could be pulled
14 into this question.

15 We don't necessarily have to get into
16 that same discussion all over again just because
17 it is at this question. It is just informative to
18 the panel. Ms. Rose.

19 MS. ROSE: As a point of clarification,
20 specifically on the models we have currently,
21 because that's all we do have to work with and a
22 little bit more of the appropriateness of what is

1 currently available for decision making.

2 DR. PORTIER: With regard to these
3 parameters.

4 MS. ROSE: With regard to these
5 parameters and what appropriateness of the outputs
6 of these and the agency's review of them, based on
7 -- there are some big differences in some of the
8 input parameters, as far as resistant allele
9 frequency, dispersal, and because of some of these
10 differences of what we have to work with now
11 because it will take time to refine the models,
12 what is the appropriateness -- what came -- the
13 outputs of these based on the inputs, if that
14 coming out correctly.

15 DR. PORTIER: Dr. Caprio.

16 DR. CAPRIO: My impression is as I have
17 stated before, I think the models despite all
18 these differences and dispersal parameters, wide
19 variety of parameters that are employed, give the
20 same sort of general frame work in terms of
21 resistance. I think there is surprising agreement
22 among the models.

1 DR. PORTIER: Dr. Andow.

2 DR. ANDOW: Mike, did you do some work
3 and inbreeding and those models were also
4 similarly robust with respect to these parameters
5 we're talking about, with these results, these
6 outputs?

7 DR. CAPRIO: Some place they were going
8 to make a copy. I did an inbreeding coefficient
9 of zero and an inbreeding coefficient of .1 and
10 approximately has it on to resistance. I don't
11 think we know what sort of inbreeding there is in
12 this particular insect.

13 DR. ANDOW: The reason I bring that up
14 is because one of the issues that is not extremely
15 well addressed in any of the models is this idea
16 of the 10 day delay in emergence. And that would
17 appear, I think, the easiest way to model that
18 is within inbreeding coefficients.

19 That's why I thought Mike's point was --
20 Mike's results would be particularly relevant.

21 Everybody was given a copy of the table
22 that Fred passed out to try to compare the

1 different models. I guess, if we are to look at
2 sort of the starting resistance frequency, we have
3 already gone over that as could be quite important
4 if, in fact, resistance is quite common now and
5 that would sort of change the whole discussion and
6 texture of the discussion.

7 DR. PORTIER: To ask a pointed question.
8 So let's stick to that parameter for a minute to
9 ask appointed question, the two different values
10 used were one in 1,000 versus 1 in 10,000.

11 DR. ANDOW: They are all rare.

12 DR. PORTIER: Do we have any information
13 that would advise the agency as to which one of
14 these is more likely to be correct or we just
15 don't know. Dr. Andow.

16 DR. ANDOW: I would say at this point it
17 could be even more common. And I wouldn't know
18 for sure, but if some of the work that has been
19 talked about earlier, specifically Lance Meinke's
20 work, may shed a lot of light on that as to
21 whether or not we're even in the right ball park
22 here.

1 DR. PORTIER: So would be fair then for
2 us to advise the agency that for this parameter a
3 sensitivity analysis would be very informative on
4 both models, and that sensitivity analysis might
5 be weighted towards greater resistance allele
6 frequencies than one in 1,000?

7 Is that a general consensus, I see some
8 nods. Dr. Gould.

9 DR. GOULD: I think the 10 to the minus
10 3 or 10 to the minus 4 is based on some data for
11 lepidopteras pests where the assessments have been
12 made, in terms of what allele frequencies are.

13 But, I guess again, the issue of low
14 dose and the ability -- there might be lots of
15 different alleles at single low size, many low
16 size is very important to consider in reevaluating
17 it.

18 If we look at a lepidopteras pest, the
19 diamondback moth, which has a different biology,
20 there seems to be more of this polymorphism you
21 were mentioning in India where there is a study
22 published in the Journal of Economic Entomology.

1 Before any selection pressure with Bt, there were
2 certain isolated populations that were over 100
3 fold resistant. So it was just a fluctuation in a
4 polymorphism in that case.

5 But I think having a little bit of data,
6 it wouldn't take too much of a study to show that
7 the initial gene frequency was at least less than
8 10 to the minus two, it wouldn't take very much
9 work to do that and that would be very helpful.

10 DR. PORTIER: As a flip side to just
11 doing -- I'm trying to address the issue. I don't
12 know if that was what you were looking for Ms.
13 Rose, in terms of some guidance for this
14 parameter.

15 MS. ROSE: Being that we don't know the
16 initial resistance allele frequency, I appreciate
17 the recommendation that the research be conducted
18 to identify that, but in the mean time is the 10
19 to the minus 3 or 10 to the minus 4 a conservative
20 enough, appropriate enough parameter to be working
21 with until we get that information.

22 DR. GOULD: For risk assessment?

1 MS. ROSE: For looking at the models
2 used thus far.

3 DR. PORTIER: As it pertains to IRM.

4 DR. GOULD: For risk assessment, I would
5 say I like Mike Caprio's approach of asking what
6 our knowledge base is for assuming that. And then
7 using a model that uses that as your distribution
8 for asking where the risk is.

9 That can be done, so you develop a model
10 that looks at what the potential is for it being
11 higher, using that as your mean and then having a
12 variance around that, you could do something like
13 that but not to just assume that's it.

14 DR. PORTIER: If I could, Dr. Gould try
15 to get you to answer the question in the condition
16 that they will use one of these two models.

17 DR. GOULD: In the condition that you
18 will use one of the --

19 DR. PORTIER: What are the three models
20 you are considering?

21 MS. ROSE: Andow and Onstad, the ones
22 that I summarized yesterday. They are in the

1 Monsanto submission, the modified Caprio model,
2 the Andow and Onstad model, and then Onstad's
3 model are the ones thus far that have been
4 developed for the corn rootworm.

5 Pretty much they are using .0001. From
6 what you're saying is that none of them are
7 appropriate if we need to go above and beyond
8 that. So, should we not be considering any of
9 these models at this time?

10 DR. GOULD: That doesn't mean that you
11 shouldn't be considering the model. I think Nick
12 made a strong point of the idea of looking at
13 relative effect as opposed to years to resistance.

14 I think if you look at relative effect
15 of these techniques, especially with again the
16 moderate dose, you are going to see that by
17 lowering the gene frequency all the models are
18 going to tell you it takes a little bit longer to
19 get resistance, but they are not going to differ
20 that much.

21 If you try these models at different
22 gene frequencies you would get a difference in how

1 many years it takes for resistance to development,
2 not that relative amount.

3 But if what you are concerned with is
4 how many years, yes, then it certainly is
5 important to consider others. But in terms of
6 throwing out the models is different than throwing
7 out the actual runs that have been done, and ask
8 those people who have the models to do more runs
9 for you with a different frequency.

10 That's not the same as throwing out the
11 models. It is just throwing out that parameter.
12 And I just hope that you will consider the Storer
13 model since it is another contribution here. I
14 hate to harp on this it is just that it is more
15 detailed and as much as we have heard that
16 sometimes stochasticity and spatial parameters
17 aren't important, sometimes they are. In this
18 pest it might be.

19 I would like to make a comment about
20 this table. The reason I put some effort into
21 starting this, at least, is so that we can make
22 some head on head comparisons between the models

1 and that has been avoided for quite a while.

2 This is not a complete chart. It is
3 based on what I could do. What I would appreciate
4 is input from the panel on where I'm wrong. I
5 also did not have access to the Monsanto
6 parameters, unfortunately, before I came here, so
7 those could be added here, and just take a look
8 and see what parameters you actually have in those
9 models.

10 Also, I didn't put in what all the
11 results were and compare the results, but I think
12 at least having this in the report will give
13 people a sense we have done our task on that.

14 DR. PORTIER: Dr. Andow.

15 DR. ANDOW: The question on resistance
16 allele frequency is it is probably useful for the
17 risk assessment process to look at higher initial
18 gene frequencies. In terms of dominance of the
19 heterozygote, I think that the range that has been
20 looked at is either quite recessive to sort of
21 intermediate or additive in the Onstad model.

22 In the model that I worked on with Don

1 Onstad, the values tend to be on the order of not
2 that recessive .05 to close to additive, but
3 doesn't get up to additive. So they are the on
4 the recessive side.

5 On the modified, the Monsanto
6 modification of Caprio's model it looks like it
7 goes all the way to dominance. I'm not sure how
8 low they went on that case. So now what is the
9 appropriate thing to do? Well I guess everybody
10 knows that if the resistance is more dominant, it
11 will evolve faster.

12 So if you are interested in worst case
13 scenarios, then the more dominant cases would be
14 worst case scenarios in terms of what is likely,
15 that is another question that I'm not sure where
16 to come out on this point, except that the
17 literature seems to indicate that when it --
18 resistance requires less of a resistance ratio,
19 then there is a greater range of dominance values
20 that you see.

21 So as you get higher and higher levels
22 of resistance, you tend to see lower, more and

1 more recessivity.

2 So that it is appropriate then to
3 explore a wider range of dominance values for this
4 particular case.

5 DR. PORTIER: Is this a case where Dr.
6 Caprio's point about the 11 mechanisms may play a
7 role in helping to guide you as to what might be
8 an appropriate dominance?

9 DR. CAPRIO: I would have said the
10 empirical evidence that Dave mentioned, in terms
11 of what we have seen in selected colonies and so
12 on that as the overall resistance ratio decreases,
13 at least the genetic dominance is much more
14 variable.

15 So we can expect if we were going to set
16 probability ranges around this dominance value, I
17 would make it much broader for this low dose
18 event.

19 DR. PORTIER: I'm thinking about for
20 this particular low dose event. What type of data
21 could guide us into choosing a better dominance
22 value? Dr. Hubbard, you had some comments on

1 this yesterday. No?

2 DR. HUBBARD: I --

3 DR. PORTIER: I wasn't going to let you
4 get away, right away, because I know you had some
5 data yesterday in terms of selection pressures.
6 I'm wondering if any of that would be useful in
7 helping decide, potentially, for what degree of
8 dominance there might be.

9 DR. HUBBARD: I'm not a geneticist, and
10 I can't comment on that.

11 DR. PORTIER: Dr. Caprio.

12 DR. CAPRIO: I guess the point we're
13 trying to make is that because of the dose, there
14 is a much -- this is an unknowable parameter until
15 resistance evolves.

16 Asking what value should we plug in here
17 is the wrong question. I think we should talk
18 about the variance, or the expected variance, or
19 the uncertainty of that parameter.

20 I think that's what we're trying to say
21 is the uncertainty is much greater because it's a
22 low dose event that the question you are asking,

1 what value should we put in, I think is one we're
2 trying to avoid because inherently we're more
3 uncertain about this.

4 DR. PORTIER: So, I guess the
5 recommendation to the agency on this particular
6 parameter is that it could range from completely
7 recessive to completely dominant, and we just
8 don't know.

9 Again, conditional on this model.
10 Because if it is polygenic we are maybe not even
11 talking about the right thing. Is that what we're
12 saying? Dr. Andow.

13 DR. ANDOW: I would say there is a bit
14 of a central tendency so it's not like we are
15 looking at a uniform distribution. If that's what
16 you're getting at.

17 DR. PORTIER: Your prior would be to put
18 some additional weight towards the .5?

19 DR. ANDOW: Yes.

20 DR. PORTIER: Okay.

21 DR. HUBBARD: My only additional comment
22 is that there may be -- it may be more likely that

1 there is multiple genes for -- in host plant with
2 corn rootworms and European corn bores
3 quantitative traits with multiple genes are often
4 see in host plant resistance and those are low
5 dose events generally and may be similar to what
6 we're seeing here.

7 DR. PORTIER: Is this being helpful? Do
8 we want to continue moving through the individual
9 parameters here, movement of males and females?

10 Anyone on the panel want to take on that
11 parameter? Dr. Gould.

12 DR. GOULD: I was looking at that when I
13 was going through the models for these questions.

14
15 I guess the differences -- the important
16 differences, if you were dealing with a high dose,
17 would be the movement of males before females are
18 mated with those.

19 And the models range from having almost
20 no movement of those males to having random
21 movement from what I gather from the Monsanto
22 model.

1 Again, I have not seen that so I didn't
2 put those parameters down. With a high dose
3 model, that can make a major difference. I think
4 it can even make somewhat of a difference at a
5 moderate dose, but not as much.

6 And then if you look at the Storer and
7 the Onstad model, I guess, I may have put
8 something in here for the premating dispersal that
9 is incorrect. This is just a preliminary, but in
10 the post mating dispersal, they are not too
11 different in terms of what their assumptions are.

12 They base those assumptions on data from
13 some empirical studies. But I don't know what
14 part of the country those studies were done.
15 Looking at the Spencer paper last night, that
16 looks like it is a completely different kind of
17 thing.

18 So I would say these models have data
19 based on very few studies, if only maybe one. I'm
20 not sure. So you might want more information on
21 that.

22 DR. ANDOW: In terms -- to add to that

1 list, the model, the Andow/Onstad model is
2 essentially assuming that there is no premating
3 dispersal of the females and there is random
4 postmating dispersal of the females. And the
5 males sort of disperse as they will without
6 distinguishing between the first versus the second
7 mating.

8 I would also like to add that
9 preliminary work that I have done on varying this
10 does bear out some of the comments that Fred and
11 Mike were talking about yesterday, in terms of how
12 sensitive is the model to this.

13 It's a little bit, but you don't get
14 huge differences really varying this too much.
15 Part of the reason that you get it is that
16 basically, if you were to look at the population
17 sizes in the two patches that -- because there is
18 high survival in the Bt patch, there is an a lot
19 of beetles already there.

20 Generally, the gene frequencies are a
21 little bit higher there, because the selection
22 intensity is not a lot higher, so you don't get

1 huge differences in gene frequencies. The effect
2 of dispersal really is to carry some of the genes
3 from one place to the other.

4 But because you already have a lot of
5 individuals in both fields, and the gene frequency
6 differences aren't hugely different, the effect of
7 that movement is less, because it's essentially --
8 you know you have to see movement of genes so that
9 after the movement you get different gene
10 frequencies for the movement to have a big effect
11 on the evolutionary process.

12 When you have lots of individuals in
13 both fields and you don't have huge differences of
14 gene frequencies in those two fields it is not
15 going to be -- the movement parameters have got to
16 change a lot in order to get really different gene
17 frequencies after movement. That's just a general
18 property of these types of models.

19 DR. PORTIER: Any other comments on
20 this?

21 DR. HUBBARD: Just to follow up on Dr.
22 Gould's comment, just that it may be appropriate

1 to have different input values for the eastern
2 corn belt than the western corn belt.

3 DR. PORTIER: I was going to point out
4 that we covered much of that earlier in our
5 previous discussion, that the lack of knowledge of
6 what is going on in some of the other corn
7 rootworms is something that plays a role here.

8 Mating and Ovipositional behavior. Any
9 panel members want to comment on these? Dr.
10 Caprio.

11 DR. CAPRIO: I'll just mention again
12 from our empirical work with heliothines that
13 ovipositional behavior can impact the source sync
14 dynamics and in doing so impact population
15 dynamics and can under some circumstances,
16 particularly if you start talking about infield
17 refuges, be important to know something about
18 ovipositional behavior.

19 How far these females are moving and
20 where they are putting their reproductive output,
21 whether it is transgenic versus
22 nontransgenic fields.

1 DR. PORTIER: But in terms of these
2 specific models is there anything we can say about
3 the parameters that have been used and the way in
4 which they have been used, that can guide the
5 agency as to what might be the most appropriate
6 for these -- conditional on these three models.

7 DR. ANDOW: My understanding, again, not
8 knowing exactly what is in the Monsanto
9 modification model, but an assuming it is very
10 similar to what Mike had before, is that the
11 models are assuming local random mating.

12 As I was pointing out before, this issue
13 of local inbreeding may be fairly important as
14 Mike just pointed out with an inbreeding
15 coefficient of .1 you get 50 percent change in the
16 rates. So that could be considered substantial.
17 So yes, I think that that would be an issue that
18 would be wise to look into.

19 DR. PORTIER: Do all the models allow
20 you to do that?

21 DR. ANDOW: Not by simple parameter
22 changes, but they can be done. I don't see

1 anything that says that it wouldn't be simple for
2 -- maybe not simple, but there wouldn't be a
3 relatively straight forward way of doing it in any
4 of these models.

5 DR. PORTIER: But that would require a
6 change in the model?

7 DR. ANDOW: Yes.

8 DR. PORTIER: Again, I'm trying to stay
9 to conditional one, assuming you are giving this
10 model to your sister who might not be a computer
11 expert and mathematician and say -- and they want
12 to run it.

13 Again, what would you tell them about the
14 parameters on these issues? I'm trying to make it
15 -- trying to really focus you narrowly into this
16 question for these models.

17 DR. ANDOW: On these models I would say
18 you couldn't run it.

19 DR. PORTIER: Dr. Gould.

20 DR. GOULD: Again, in the Storer model,
21 what you have is that delay in emergence. And as
22 an interesting finding there that indicates,

1 probably, a lack of inbreeding because of that
2 delay.

3 The insects are protanderous, so the
4 males come out early. So the males are coming out
5 in the refuges earlier than the males are coming
6 out in the Bt plots. And those males at least in
7 this model therefore have movement and are moving
8 into those plots and they are not relatives.

9 So, it goes in two directions in terms
10 of this inbreeding when you have delayed
11 development in a protanderous (ph) insect.

12 I would say that actually the Storer
13 model addresses this. It does allow for random
14 movement within a field. I think there is a
15 movement within the field, but since the males are
16 coming in from outside the field, I'm not sure
17 when there is that developmental delay if there is
18 a problem with inbreeding.

19 DR. PORTIER: Dr. Caprio.

20 DR. CAPRIO: I'll just point out that
21 there is two different levels of inbreeding that
22 we might be talking about, which is within the

1 individual, which is sort of what we're talking
2 about with specific developmental delays.

3 But there is also a broader issue of
4 inbreeding and what might be viewed as genetic
5 variation between populations. That somehow we
6 look at an overall gene frequency. In fact, one
7 would expect in these populations that are not
8 highly mobile that there would be considerable
9 variation and by chance some of those populations
10 will have much higher frequencies.

11 DR. GOULD: I would say the Storer model
12 does address that by having this unit. I agree
13 with Dave, if you extended that to have a million
14 fields instead of 2000 fields you would have more
15 variation.

16 The Peck model addresses that too.
17 Actually it was surprising, the Peck model even
18 with holding back movement that by allowing the
19 initial gene frequency to vary before you put out
20 the resistant plants.

21 It didn't vary that much. It wasn't
22 dramatic. I was somewhat surprised if we knew

1 more about this beetles' movement in the western
2 states maybe we would expect more.

3 I would agree with you it needs to be
4 done. But we do have the models to start doing
5 that. It is not as if the current models can't do
6 that.

7 DR. PORTIER: Any other comments on this
8 parameter?

9 Ms. Rose, do you have other genetic and
10 behavioral parameters? Are there any specific you
11 want to get into? Have we addressed these
12 important issues for you?

13 I guess I would characterize our
14 discussion up to this point with regard to these
15 parameters to say that each of the models have
16 different aspects that are good and bad to them.

17 The only way you are going to get a
18 really good feel of what this might mean in terms
19 of insect resistant management, conditional on
20 using these models is to try some of the
21 variations we have talked about where you can in
22 each of the models, and use some judgment from

1 what comes out of the models; would the panel
2 disagree with that conditional on using these
3 models? Any disagreement with that sort of broad,
4 very broad summarization? Dr. Gould.

5 DR. GOULD: I would just add to that.
6 Going back to the fact once you're dealing with
7 moderate dose these models do not, even with all
8 these little things, the models don't differ that
9 much because they are not sensitive to much in
10 terms of a moderate dose.

11 We can work all we want on all this fine
12 tuning, but if you don't have a high dose event, I
13 don't know why we're wasting our time on that
14 somehow.

15 Maybe I'm wrong, I don't want to
16 exaggerate it. You could have density dependent
17 stuff going on we don't know enough about density
18 dependent mortality in these models, for the
19 larvae and all that.

20 Somehow, I think we're playing a game,
21 like we're dealing with a high dose thing and
22 worrying about these things.

1 MS. ROSE: I do have a couple points of
2 clarification, but just on your last comment,
3 Fred, are you saying you don't think the models
4 have much utility at all for a moderate dose? Am
5 I hearing that?

6 DR. GOULD: I guess what I'm saying is,
7 I could build you a model on the back of a napkin
8 that would give you pretty much the same results a
9 lot of these models would in terms of a moderate
10 dose.

11 That the answer is pretty
12 straightforward typically. So, I know -- I think
13 they do have relevance. I think they are they are
14 basically telling you that because of our
15 uncertainty and the lack of data to go into them,
16 we have a lot of uncertainty risk assessment.

17 It is not saying anything about the
18 models being bad. We're talking about trying to
19 worry about parameters in terms of varying them,
20 where maybe it is not as important at these
21 moderate doses.

22 I think I could show you this by showing

1 one of Nick's overheads from yesterday.

2 DR. ANDOW: I would prefer not frankly,
3 because it is on a log scale it doesn't reveal
4 that fine scale.

5 DR. GOULD: I think I could deal with
6 that Dave, because if you wouldn't mind.

7 DR. ANDOW: Go ahead.

8 DR. GOULD: I don't think it will
9 disturb us too much. I think it will show you the
10 answer to what you are talking about a little bit.

11 DR. PORTIER: You had some other
12 questions?

13 MS. ROSE: I'm not sure if the other
14 points of clarification are as relevant after
15 Fred's last comment. But there are some other
16 aspects. First of all if the panel recognizes any
17 worthy of discussion. But also
18 parameters such as refuge being fixed, or random
19 placement of refuge, and also the -- I don't know
20 that only one of if three models considered in
21 infield refuge, the necessity of looking at
22 infield versus external and some of those

1 parameters if the panel could discuss some of
2 those as additional.

3 DR. ANDOW: Aren't those in the next
4 question?

5 MS. ROSE: The next one is just
6 insecticide.

7 DR. ANDOW: The next part of the
8 question is on insecticide, but the fourth
9 question is about refuge and refuge placement.

10 MS. ROSE: If you feel it would be more
11 appropriate we can discuss some of those things.
12 But we were thinking in terms of the input into
13 the models themselves and the importance of the
14 consideration of these parameters for one thing in
15 these models.

16 DR. PORTIER: Dr. Andow.

17 DR. ANDOW: In terms of how the models
18 deal with fixed versus random or placement of
19 refuge, any of the patch models treat refuge
20 placement as random.

21 You can only go to the fixed models if
22 you have some sort of spacial structure in the

1 model. The same with the placement of the refuge,
2 you need spatial structure in the model to get
3 there.

4 So, if you have any questions associated
5 with those, only models that deal with spatial
6 structure explicitly can handle those questions.

7 DR. PORTIER: Ms. Rose, let me get back
8 to the original point. I think Dr. Gould's
9 response is not going differ from much of the rest
10 of the panel on this regard, in the sense that --
11 I get the feeling you are trying to seek from us
12 some feeling about in what situation what is the
13 best model to use.

14 I think what you are getting back from
15 the panel is the concept that we are not going to
16 support any of these models per se, because they
17 all have flaws from the basic point of this is a
18 low dose event versus a high dose event and they
19 are developed for high dose events.

20 I don't think we're saying they are not
21 useful. I think we're saying there is a lot of
22 aspects to all the models that you can't just

1 choose one and say this is clearly the best. And
2 I think that's the problem. So our
3 answer to the question about random field
4 placement is going to be that there is only one
5 model that allows you to do random field placement
6 and you are going to have to rely upon the
7 predictions of that model.

8 Because the others can't help you with
9 that prediction. And we don't know how important
10 it's in this case because we're not confident with
11 any of the models with regard to this particular
12 issue. Is that sort of the general concept?

13 DR. GOULD: We have to say we're
14 confident about the models. What we're not
15 confident about is the parameters which you are
16 putting into the models.

17 If you knew what the parameters you
18 could put them into these models and they would be
19 very good. But we keep saying we don't know what
20 the parameters are to put int.

21 DR. PORTIER: That's a slightly
22 different point than I was making. On the

1 previous discussion when we talked about the
2 actual functional forms of the models, you had
3 considerable concern about some of the aspects,
4 the basic assumptions, that go into these models.

5 And you are presuming that a new model
6 which uses assumptions that might be more
7 appropriate to this case is not going to be
8 fundamentally different.

9 I don't know that we can presume that.

10 DR. GOULD: That's what I'm saying,
11 before you know the parameters, I wouldn't say
12 that the models are -- I agree with you on that in
13 that way it depends on how you phrase it.

14 All I'm saying we know so little about
15 the parameters that are important here, you can't
16 expect the model to give you a good answer if you
17 don't put in good data. I wouldn't be as
18 critical. I think the models for what they are
19 made out to be we would rely on them. It's a
20 different perspective. I'm stuck.

21 DR. PORTIER: Dr. Andow.

22 DR. ANDOW: I guess I would say the

1 general point is that there are a lot of
2 structural differences in the models in terms of
3 what they include, what they don't include.

4 We think that changing that will have
5 some effect on the output. But how big of an
6 effect it would be is -- you would have to see
7 some very big changes in some of these models in
8 terms of their parameter values to get those big
9 changes.

10 And that in general, some critical
11 issues they are all communicating about the same
12 thing, that if you are talking about resistance
13 occurring starting from initial frequencies.

14 That's probably the key one. If you are
15 starting from the low initial frequencies you are
16 talking about on the order of 15, 25 years for a
17 lot of parameter values.

18 That's sort of a key point. So if it is
19 more common, then of course it is going to be
20 lower, if resistance is more common, the time to
21 resistance will be faster.

22 DR. PORTIER: Dr. Hellmich.

1 I'm trying to get somebody else into this
2 discussion.

3 DR. HELLMICH: I understand that these
4 one locust, two allele models are like that
5 because computationally if you get into multiple
6 alleles or the low side it is very difficult. It
7 seems like we need some polygenic models here.

8 I know animal breeders have been using
9 quantitative genetic models for years. Are there
10 other models that we could fall back on that would
11 be more appropriate for these -- for this event?

12 DR. GOULD: I would imagine that
13 quantitative genetic models would be fine for this
14 kind of thing. We're not talking about something
15 sophisticated here, when you ask what are the
16 research questions, they are not that
17 sophisticated.

18 We just need to get the data on what the
19 additive genetic variances in the populations and
20 then plug them into a quantitative genetic model
21 -- it is not even a computer simulation model.
22 Just to get a feeling what response to selection

1 might look like. It is not the biggest deal.

2 DR. WHALON: Can I introduce at this
3 point another point that is germane, I think.

4 DR. PORTIER: Dr. Whalon.

5 DR. WHALON: Just a caveat, I would
6 reference the discussion we had yesterday on
7 mortality events and the behavior along the root
8 grazing et cetera. Some input that we had from
9 some of the documents that were provided regarding
10 root exudates and hypothesized high dose in some
11 areas, et cetera.

12 As we talk about these potential
13 multigene quantitative genetic effects we're
14 really talking about trying to understand what the
15 multiple mechanisms for mortality are among first
16 instar larvae affected by these plants.

17 I see that as an area that could be
18 fruitful in terms of additional research and just
19 wanted to insert that in this discussion as
20 something that could be done.

21 DR. PORTIER: Dr. Andersen. You have
22 been trying to get into this.

1 DR. ANDERSEN: It's all right. I think
2 that what the panel has come to has given us some
3 pretty good guidance about what we can do with
4 these models and some of the limitations of them,
5 in the sense that these are models at high dose
6 and we're probably looking for what we need to
7 have a model that more realistically mimics this
8 situation where we have a low dose, or a moderate
9 dose, at least not a high dose.

10 And that has been useful to really
11 clarify for us. It also -- I'm summarizing for
12 you, but I do think you have given us some advice
13 how we can use the models we do have.

14 Either now or in the future I think
15 we're going to be looking at an appropriate model
16 for this situation.

17 DR. PORTIER: Good. I'm trying to move
18 us forward because we're going to be bogged down
19 on this question for another hour if we don't. It
20 sounds to me like we have given you the general
21 advice you are looking for.

22 Any final comments for the panel on this

1 question? Dr. Gould.

2 DR. GOULD: I want to make something
3 clear. If you are thinking these are not
4 relevant, please don't take as a take home
5 message.

6 The thing is some of the extreme
7 parameters in all this debate, that's why I was
8 worried about getting into this debate, it is
9 academic.

10 All of those models are pretty relevant
11 in the range of .6 mortality and all give you the
12 same answers that's not to say that the initial
13 frequencies are wrong, but most models are pretty
14 relevant in that regard.

15 You don't need a whole bunch of
16 different stuff. We're talking about it is easier
17 now for any model to give you an answer at those
18 frequencies, at those mortality frequencies.
19 These models, don't throw them out they will give
20 you quite the answer you want. You could make
21 them simpler and they would give you the answers.

22 DR. ANDERSEN: I think you have given us

1 good advice, and we wouldn't throw them out. I
2 think what we're try to go get to is that we may
3 be somewhat beating a dead horse to actually try
4 to make these particular models a whole lot
5 better.

6 We may really need to look at
7 substantially different mechanisms, something like
8 the quantitative genetic models that you are
9 talking about.

10 DR. GOULD: They won't give you that
11 much of a different answer. Where you need the
12 information is on the parameters, all those models
13 are going to give you pretty similar answers even
14 the quantitative genetic models at those levels.
15 Maybe people want to disagree with us, but I don't
16 think so.

17 What you need are the parameter
18 estimates. You put those parameter estimates in
19 those models and then you have a -- don't put all
20 your work into coming up with any new models, that
21 will take us two weeks and we'll have it for you.

22 What you need are parameter estimates

1 which will take you years to get.

2 DR. PORTIER: Any other comments from
3 the panel? Dr. Andow, any last comment before we
4 move on?

5 DR. ANDOW: I was going to point out
6 that the key parameters involved in a lot of these
7 models including the high dose models, is
8 essentially, one could characterize it as the
9 fitness differential and we were talking about
10 this last time.

11 And the difference with high dose models
12 is that there are parameters that modify that
13 fitness differential that are involved in the
14 details of the ecology. And as you get to the
15 lower and lower dose models, what happens is that
16 the prominence of those modifications of selective
17 differential decline in importance, and the
18 prominence of the selective differential rises in
19 importance.

20 Which is why all these other parameters
21 have less influence. That's why all the different
22 models are giving essentially similar results

1 despite the variation is because the key thing is
2 to look at the relative fitness between the RR's
3 and the SS's with a little bit of modification for
4 the RS's.

5 DR. PORTIER: I'm going press on and
6 we're going to finish this question before we take
7 a break. That will hopefully make you be very
8 articulate.

9 If we could go to question, part D on
10 question three, please.

11 MS. ROSE: How does insecticide use in
12 the refuge and or Bt fields affect the predictions
13 of time to resistance.

14 DR. PORTIER: Dr. Caprio.

15 DR. CAPRIO: Paul, did that table ever
16 get copied?

17 DR. LEWIS: Yes, it was distributed this
18 morning. I think everybody should have a table
19 with a title page from Dr. Caprio.

20 DR. CAPRIO: I'm not sure where it ended
21 up. But in any case, basically --

22 DR. PORTIER: Do you want to just go

1 ahead?

2 DR. CAPRIO: I'll just explain what it
3 said. I looked at different refuge sizes and
4 various amounts of insecticidal use in those
5 refuges, and the default assumption has always
6 been you know, if you take away 50 percent of a 20
7 percent refuge, it is going to act essentially
8 like a 10 percent refuge there is a little bit
9 difference because there is a little more Bt
10 product out there. I would just say in
11 that table if you looked at a 20 percent refuge
12 with 50 percent mortality due to a spray, you have
13 essentially the same number as if you had a 10
14 percent refuge if you had a 50 percent refuge and
15 got 20 percent survivorship after the insecticide.

16
17 You came reasonably close for a 10
18 percent, the same values you got for a 10 percent.
19 This is more impact because there is more Bt crop.

20 It seems -- if you pull out those
21 individuals out of that refuge, you are decreasing
22 the relative size of that refuge compared to your

1 transgenic crop. And it is going to have an
2 impact, it will hasten the evolution of
3 resistance.

4 DR. PORTIER: Dr. Andow.

5 DR. ANDOW: I will not disagree with
6 that assessment for these low dose event.

7 DR. PORTIER: Dr. Whalon.

8 DR. WHALON: I have a question relevant
9 to that assessment. Do we need a larger refuge
10 given the principal of conservation?

11 DR. CAPRIO: I think that will come up
12 in another question when we discuss refuge, but I
13 think it is relevant given this -- I think we need
14 to remember this discussion when we get there.

15 DR. PORTIER: So what stands if you are
16 going to spray the refuge, you are going to
17 decrease the time to resistance.

18 DR. CAPRIO: Correct.

19 DR. PORTIER: Dr. Gould.

20 DR. GOULD: I just think we need to
21 address the other part of the question of or Bt
22 fields if you are spraying in the Bt fields, what

1 is the effect of that?

2 Is that addressed already?

3 DR. WHALON: Could I introduce a thought
4 that is relative to that?

5 It strikes me that you have two
6 different situations here. One situation is a
7 recommendation or at least in the materials that
8 we have got from the understanding of EPA to
9 Monsanto's proposal for an IRM, that they would
10 allow seed treatment in the refuges.

11 And that other insecticide treatments
12 based on economic injury level and IPM monitoring,
13 et cetera, would be applied uniformly to both the
14 MON 863 and the refuges.

15 And at least that's my understanding in
16 this context. Is that what you are addressing?

17 DR. GOULD: I guess there is a lot of
18 biology here that is important.

19 You have to ask what the interaction is
20 between the Bt and that insecticide use.

21 If we're talking about the refuge having
22 very high population densities and having density

1 dependent mortality, adding a density independent
2 factor if that is how the insecticide works might
3 not lower the population that much. I'm not sure
4 what it would do.

5 But in the case where Bt is acting first
6 or after the insecticide you would have a very
7 different interaction effect. If you are starting
8 with a low population that already does not have
9 density dependent acting, then you might have a
10 different effect.

11 Again, I would say we don't have an
12 estimate of those parameters and that would be
13 useful research to do.

14 I think we could more easily answer the
15 question what would be the effect of just spraying
16 the refuge or just treating the refuge answering
17 the question of treating both and then a question
18 of just treating the Bt ones and not the refuge.

19 I think that we need more research on
20 that.

21 DR. PORTIER: If I could ask a simple
22 question. Aren't most of your concerns that you

1 have just discussed dealing with the magnitude of
2 the effect, but wouldn't I argue that in most
3 cases, in most scenarios you could think of, if
4 you treat the Bt fields you are likely to increase
5 the time to resistance, you are not likely to
6 decrease it?

7 DR. GOULD: I think in a risk assessment
8 perspective, I think I would say that the
9 likelihood is on that side, I agree.

10 We might be surprised by the biological
11 data and therefore it is not so hard to collect
12 that data. We ought to know that, but agree with
13 you, yes.

14 DR. PORTIER: Dr. Caprio.

15 DR. CAPRIO: The real relevant question
16 though was pointed out is that they are talking
17 about you have to treat both refuges and Bt
18 fields. And if that -- the default assumption in
19 doing that is that that insecticide has the same
20 impact in both those patch types.

21 If the impact of the insecticide is
22 dependent, is very different in the Bt field than

1 the refuge, then that can be an extremely relevant
2 question and it may not go the way you would think
3 it would.

4 DR. PORTIER: Under what condition would
5 it not go. So that if someone were looking to
6 design an experiment to address that question,
7 what condition can you think of where it would, in
8 fact, not go in that direction?

9 DR. CAPRIO: When you get into these
10 questions of density dependence and you are seeing
11 either more mortality in the refuges. I think the
12 case from cotton is that, in fact, you are seeing
13 more mortality in the Bt fields because they are
14 more stressed.

15 I think it is just something that we
16 need to do research on and find out some of these
17 potential interactions.

18 DR. WHALON: There is another scenario
19 may be that we haven't -- not to muddy the waters
20 still further, but what we have essentially in
21 this MON 863 event is a differential success
22 generating mechanism among species of corn

1 rootworm as well.

2 So where you have overlapping species,
3 you may favor one species over another. Hence,
4 actually exacerbate a change in management
5 strategy in the time frame we're talking about.

6 DR. ANDOW: I would like to address the
7 question of how insecticides might get different
8 results depending on -- I have been thinking about
9 this in the context of the corn bore issue. But I
10 think it translates into the corn rootworm issue.

11 If we think specifically about
12 adulticide applications of corn rootworms and --
13 or if we think about insecticide applications
14 while the adults are out there and those
15 insecticides may have adulticide effects even
16 though they weren't aimed at the adults.

17 So you might spray something that --
18 against the corn bores, for example, that also has
19 adulticidal activity to the rootworms or you might
20 spray something against spider mites that also has
21 adulticidal activities.

22 And if you spray say something that has

1 -- aduIticidal activities that is sprayed early in
2 the emergence period of the rootworms, it is going
3 to be selective on the rootworms. So the later
4 emerging rootworms are the ones that are more
5 likely to be resistant at this point in time.

6 If you kill the early emerging ones,
7 then you are essentially giving the resistant
8 types an advantage. If you spray something late
9 in the emergence period, you may be differentially
10 killing the resistant types in which case you may
11 be delaying resistance further.

12 I think that the timing issue could
13 interact with the genotypes, resistant and
14 susceptible genotypes, in such a way as to either
15 accelerate or delay resistance.

16 DR. PORTIER: Any other comments from
17 the panel on this question? Is that clear?

18 DR. ANDERSEN: Yes, thank you.

19 DR. PORTIER: Okay. Let's go ahead and
20 take a 15 minute break and come back and start
21 where we were supposed to start this morning with
22 question four.

1 (Thereupon, a brief recess was taken.)

2 DR. PORTIER: Welcome back to the SAP
3 meeting. If we could have the first of our
4 remaining polyploried (ph) questions read to us,
5 starting with four A.

6 MS. ROSE: There are actually six
7 subsections to the refuge questions. EPA has
8 concluded that a 20 percent refuge is adequate to
9 delay resistance during a three year period. Part
10 A, please comment on whether this refuge strategy
11 is adequate to delay resistance.

12 DR. PORTIER: Dr. Hubbard.

13 DR. HUBBARD: I'm going to repeat a
14 little bit, and still try to be brief. MON 863
15 produces a number of survivors.

16 The root tissues express the endotoxin
17 at levels below the LC 50 for newly hatched
18 nondiapausing corn rootworm larvae, and a little
19 above the LC 50 of an average of 10 field
20 collected populations.

21 Changes in larval feeding behavior on
22 MON 863, ie the grazing on the exterior of the

1 roots versus tunneling inside may enhance
2 survivorship on MON 863. The exterior of the
3 roots may contain lower dose of Cry 3Bb endotoxin,
4 but this has not been documented. As
5 evidenced by the large number of adults produced,
6 the low level of endotoxins in the roots relative
7 to the LC 50 and perhaps facilitated by an altered
8 feeding behavior, susceptible survivors are likely
9 produced from MON 863.

10 Corn rootworm management tools which may
11 be classified high dose such as, crop rotation,
12 broadcast use of cyclodiene insecticides sprayed
13 for adult control, all have resulted in the
14 development of resistance after ten, 15, 20 years.
15 But none of these were tactics that were employed
16 with an internal or an external refuge.

17 Organophosphate soil insecticides have
18 been used for corn rootworm for more than 30 years
19 without an outside structured refuge aimed without
20 the development of resistance.

21 With the high production of beetles one
22 could conclude that tradition soil insecticides

1 are low dose and have a built-in refuge which
2 produce susceptible adults. The
3 scenario delaying resistance to soil insecticides
4 as a low dose may delay resistance to MON 863,
5 which is also a low dose.

6 Additional factors favoring the
7 likelihood of delayed resistance include the
8 delayed emergence of beetles from MON 863,
9 increasing the likelihood that susceptible males
10 immigrate and will compete favorably with
11 resistant males for resistant females.

12 Problems exist with the plant. It may
13 be tempting for growers to plant a refuge on
14 fields previously planted to soybeans because of
15 reduced corn rootworm control costs.

16 A mechanism should be in place to
17 document prior crop history so that the refuge
18 indeed produces adult beetles. The management
19 plan put forth by Monsanto states that the refuge
20 should have the same management options or
21 practices and cropping history but did not put
22 forward a mechanism to document this.

1 In summary I an agree with the
2 conclusion of the rest of the NCR 46 committee,
3 that the probability of rootworms developing
4 resistance to Cry 3Bb1 during the interim
5 registration period appears to be low.

6 DR. PORTIER: Dr. Whalon.

7 DR. WHALON: I don't know how this is a
8 question of procedure -- how best to include a lot
9 of the discussions that have gone on before. And
10 maybe I just ought to preface some of the things
11 that have been said in the other areas by saying
12 ibis, then I am in a sense, covered and introduce
13 a couple other points. Is that, okay.

14 DR. PORTIER: Yes.

15 DR. WHALON: I think that the goal of
16 the refuge is pretty obvious it is to ensure
17 adequate production of susceptible beetles and
18 encourage their moving into the transgenic corn
19 produced beetles such that there is intermating.

20 I think that the panel has low to
21 moderate assurance that the 20 percent refuge will
22 accomplish this given all the parameters

1 associated with it. I, some what tongue and
2 cheek, it's not a high confidence, in the sense of
3 a high dose confidence.

4 Generally from my perspective, the
5 suggestion that one can see resistance early
6 enough, given the kind of scouting tools that are
7 out there right now, I think is not an appropriate
8 conclusion.

9 And in fact you need better tools or
10 maybe alternate ways of thinking about it. And I
11 think some of our discussions yesterday are
12 relevant to that arena, especially sentinel crops.

13 I think that the agency's documents
14 appropriately have identified other tactics, and
15 these have been mentioned by Bruce and also in
16 previous discussions. They include crop rotation
17 and other strategies for insecticide management,
18 and those are key components of this strategy.

19 A second key issue in any IRM strategy
20 is the effective estimation of the selection
21 intensity in that, and that discussion was held
22 already and should be abridged in here where

1 appropriate.

2 I do think that there are some critical
3 research needs and those also have been mentioned
4 variously, but especially in the area of
5 monitoring and detection and development of
6 putative resistant strains and I will come back
7 with further comments under the other sections.
8 Thanks.

9 DR. PORTIER: Dr. Hellmich.

10 DR. HELLMICH: I don't have a lot I want
11 to add to that, but given that the presentation
12 that Nick gave yesterday showing that there is a
13 pretty low response curve with refuge, that you
14 don't really get that much of a gain going from 20
15 to 30, 40 percent.

16 I think that the in this case, the
17 refuge, 20 percent refuge recommendation we have
18 with corn bore is compatible with this. And if
19 we're looking for simplicity and the potential of
20 stacks in the future, I think that it is good to
21 get a refuge out there that won't be changing.

22 In the past, when the problems we had

1 with the European corn bore refuge, is that there
2 were mixed messages of how much corn growers
3 should plant. I think that establishing a 20
4 percent now is good, because we're looking to the
5 future. Especially given that
6 changing it from to 50 percent, like I said
7 before, wouldn't really give you that much of an
8 advantage because of the low dose.

9 I guess there could be some question in
10 the future maybe whether or not even a refuge is
11 required in this case.

12 But we may not want to discuss that
13 right now.

14 DR. PORTIER: Dr. Caprio.

15 DR. CAPRIO: I guess the simple answer
16 is to take the question at face value and say will
17 it delay resistance. I think a 20 percent refuge
18 will.

19 I think you know as I look at the
20 handout that I gave you, if you compare the
21 difference between 10 and 20 percent versus 20 and
22 50 percent, there is much more to be gained going

1 from 20 to 50 percent.

2 Certainly if you look at the right hand column
3 where you have zero percent survivorship in
4 refuges there is clearly those numbers should be
5 all identical for the different refuge
6 sizes, because there is no survivorship in the
7 refuges.

8 There are an example of what Rick
9 mentioned with no refuges, and it clear that
10 refuges do have a large impact.

11 So again, the question comes down to
12 will it delay resistance enough. Will it delay
13 resistance for 3 years? Yes. Will it delay
14 resistance for 15 or 20 years? That's more
15 questionable.

16 I'm not sure that it is an overly
17 conservative plan in that vein.

18 DR. PORTIER: Any other comments on this
19 question? Dr. Neal.

20 DR. NEAL: I guess I would like to point
21 out that this is not a high dose strategy, so that
22 the numbers of beetles being produced in

1 transgenic fields are going to be relatively high.

2

3

4

5

6

7

8

So in a high dose strategy, we're comfortable with 20 percent refuge because the numbers of beetles being produced, compared to those being produced in the transgenic field, are very high. So that the likelihood of intermating between resistant beetles is very low.

9

10

11

12

13

14

15

16

In this particular case, you have got a situation where there is not a lot of selection pressure coming out of the low dose treatment. So that you are going to -- if you did have an event take place where you had beetles that were highly resistant, they would also have a chance of intermating with nonresistant beetles coming from that same field.

17

18

19

20

But you also have a situation where in these transgenic fields you are going to be selecting for low levels of resistance. So 3 to 10 fold resistance most likely over time.

21

22

So in order -- if you are interested in preventing that low level resistance, then you are

1 going to need more immigration which would suggest
2 larger refuge just from the fact that you have
3 only got about -- you have got such a high
4 survival in those fields.

5 So you have a lot more beetles. So you
6 just need more beetles to compete with them.

7 DR. PORTIER: Dr. Andow.

8 DR. ANDOW: I would like to make a
9 couple of points and the first point is that I
10 agree with some of the previous comments, that
11 this is not a conservative plan, scientifically
12 speaking.

13 If I look at the 11 points that Monsanto
14 made as to why it is conservative in their interim
15 proposed plan I can identify the placement
16 position that it should be placed next to the Bt
17 fields, as a conservative piece to that plan.

18 Because of uncertainty we don't really
19 know how far it should be, and that's a
20 conservative decision, but of the other 11, I
21 can't identify them as being conservative.

22 So for example, adoption while it is probably

1 true that adoption country wide will be relatively
2 slow in the first year, the second year, at least
3 based on the experience with the other Bt corn, it
4 jumped up very quickly over a very short period of
5 time.

6 Especially when you look at localized
7 areas and similarly it could happen here. So that
8 wasn't a conservative argument they were making.
9 In terms of grower adoption, what we heard
10 yesterday is that all of the growers would
11 individually choose to plant only a small portion,
12 maybe one of their fields to the Bt corn during
13 the first year, and depending on what they found,
14 they might increase it a little bit each year.

15 So that in terms of adoption, the long
16 term piece seems to be that they want it
17 consistent with the corn bore refuge -- but the
18 short term piece seems to be that they are only
19 going to be take it on individually a little bit
20 at a time.

21 So that that piece really isn't a
22 conservative piece either, and then the

1 insecticide piece I think has created -- Bruce's
2 analysis of the insecticide piece suggests that it
3 is uncertain to what extent the insecticides act
4 as good models for either the fast or the slow
5 evolution of resistance and it will take more
6 research on the insecticide side to be able to
7 demonstrate that.

8 So that using that using an uncertain
9 argument to argue conservatively is -- it just
10 doesn't hold.

11 So then the second point has to do with
12 the interim nature of the plan and whether or not
13 we're really dealing with an interim plan, I think
14 is something that we should consider. Yes we are,
15 in fact, thinking about it as a three year plan.
16 But we also know from our previous experiences on
17 these plans is that it is very difficult to change
18 them once they get started.

19 So that it might be useful for the panel
20 to be thinking about in not just for the three
21 year period, but sort of if it were to stay this
22 way for the whole time, is this a good way to take

1 the first step.

2 Now if it was going to change, we know
3 that it would be very difficult to make it in any
4 way more difficult for growers. So we couldn't go
5 from like a 20 percent refuge to a 50 percent
6 refuge very easily. It may be easier to change
7 from a 50 or a higher percent refuge the a lower
8 percent refuge. And, in fact, growers may applaud
9 that as being quite -- a positive move by the
10 government.

11 But in any event, we should note that
12 change is not uniformly easy in both directions.

13 So if we're thinking about this in terms
14 of its interim nature and what kind of changes are
15 possible, we should be thinking about that and
16 then the final piece on this interim nature in
17 terms of my questions yesterday, to Dr. Vaughn, it
18 was pretty clear that the approach is in the
19 current proposed plan is to not stress through
20 communication to growers that this is subject to
21 change in three years.

22 I guess I'm a little worried about

1 putting all the chips on a particular plan that is
2 not conservative at this time. And just simply --
3 but that's not to say that there aren't other
4 approaches that could allow a temporary
5 registration to go forward.

6 DR. PORTIER: Any the other comments
7 from the panel? Dr. Gould.

8 DR. GOULD: I'm almost afraid to
9 introduce this, but I'm having a pretty hard time
10 with this whole business. I guess it comes to
11 this point of is this adequate. That's what you
12 said, adequate.

13 I feel we're being forced into making
14 policy without the science, and I don't like this.
15 I think the whole idea was that this was supposed
16 to be science based policy.

17 What we have been discussing in the last
18 day and a half is the fact that we don't have the
19 science. We're lacking the parameter estimates,
20 everything is based on some kind of an idea of
21 what do we have out there.

22 When you asked is it adequate to delay

1 resistance, it is pretty vague question.
2 Certainly most of the models will tell you any
3 amount of refuge will make it delay it, but you
4 don't need a refuge to not have onset of
5 resistance in that three year period.

6 I think what Dave is saying is very
7 important. You are setting a president.
8 Unfortunately, I have been through this twice
9 already with the bowl worm situation where
10 somewhere we're forced in to come out without the
11 science, come up with some estimate somebody has
12 thrown out, and decide that that's okay.

13 Then when we try to move from a 4
14 percent refuge to 5 percent refuge in cotton,
15 because as the data is coming up, we can't do it,
16 because it won't happen. I think this president
17 with the farmers is very important. For
18 us to start without science and come up with some
19 number like 20 percent seems to me if you want a
20 policy, that's fine. But if you want a science
21 based policy, we can't give you that.

22 I guess my feeling is we're not ready

1 yet. I say that it's not adequate. I think we
2 should go back and get the science first, if you
3 want a science based policy. If this
4 is a question of just you want to decrease the use
5 of organophosphate pesticides and therefore you
6 want it out that's fine to make that decision.
7 But if you want a resistance management plan, we
8 don't have one.

9 All the data given here is sort of
10 taking a high dose strategy and trying to throw
11 some numbers in there and assume that you have it
12 when you don't.

13 I don't think the emperor has any
14 clothes here. I'm not willing to go on with this
15 thing. So, that's the comment.

16 DR. PORTIER: Agreements or
17 disagreements? Dr. Andow.

18 DR. ANDOW: I'm sorry. Why don't you
19 get agreements and disagreements--

20 DR. PORTIER: Do you have a different
21 issue?

22 DR. ANDOW: A slightly different issue.

1 DR. PORTIER: I think Dr. Whalon said to
2 some degree the same thing you said, in terms of
3 level of comfort with this issue being low to
4 moderate at this point because of lack of science.

5 DR. WHALON: Well, first off,
6 anecdotally, if that is a shy comment that Fred
7 just made, I would hate to see a forceful one.

8 As I have come into this and listened to
9 the process I'm inclined to agree in this context,
10 that I think that we have to err on the side of
11 conservation and the principal of conservation
12 should rule here in this situation.

13 If the agency were to move ahead it is
14 their decision whether to move ahead or not. As a
15 science advisor in the process, I would say there
16 are a lot of parameters that we don't have, a lot
17 of uncertainty.

18 There are other areas that the agency
19 makes decisions in that are not unlike this, so
20 what I would say is if you look at the benefit
21 side of this risk decision, there are significant
22 benefits associated with this technology and

1 moving this technology out. There
2 are also significance science advantages in moving
3 it out in the sense that you can actually do
4 monitoring and do things in the field, and I say
5 that all with the caveat that if anything, we
6 ought to err on the side of conservation if this
7 moves forward.

8 DR. PORTIER: Dr. Hubbard.

9 DR. HUBBARD: The last point,
10 especially, I very much agree with. Some of the
11 science that is necessary to do depends upon large
12 scale field studies being -- large scale field
13 plots being available, and they are not
14 necessarily going to be there unless the
15 registration moves forward.

16 You need to have the quantities of seed
17 available to do some of the studies that should be
18 done.

19 DR. PORTIER: I just want to reiterate
20 the point that we're not making a registration
21 decision here.

22 DR. HUBBARD: I apologize.

1 DR. PORTIER: And that this is -- the
2 question before the panel should not hinge upon
3 the need for a commercially viable product in
4 order for us to do large scale field studies. I
5 think that's not that's not an issue for this
6 panel to consider.

7 What we're here to consider is the
8 scientific issue. And I think Dr. Gould has
9 thrown a gauntlet in front of this panel saying
10 there is not sufficient science to support the
11 adequacy of this decision.

12 I think it is up to this panel to either
13 counter Dr. Gould's points or agree with him and
14 give EPA some sound scientific advice on this
15 issue. Dr. Caprio.

16 DR. CAPRIO: I would like to echo Fred's
17 comments, in that, I think given the adoption
18 rates we're talking about, we have to remember
19 that if we accept this interim plan, we are in
20 essence, Dave's point is absolutely correct, we
21 are in essence accepting that the maximum amount
22 of refuge we can ever have for corn rootworm is 20

1 percent.

2 I think it is far too early in the
3 process to make that decision. Given the low
4 rates of adoption that one would expect, I don't
5 think it is a decision that needs to be made right
6 at this point.

7 I think we're much better off accepting
8 a more conservative approach, and letting this
9 ultimate decision take place after we have gotten
10 more of the information that we need. I don't
11 think it is a decision that we ought to be making
12 right at this time.

13 DR. PORTIER: So I'm going to flip this
14 over again and point out that Dr. Gould's comments
15 about difficulties in changing the sizes of these
16 plots again should not be something that enters
17 into our debate on the scientific integrity of a
18 management tool.

19 I think we need to consider to some
20 degree the practical aspects, but again, I want to
21 try to keep us on the scientific issues.

22 If we were forced today to look at this

1 question of refuge strategy, would you say that,
2 no, the science is really not here and you should
3 not make a decision?

4 Or would you say that there is
5 sufficient science here to make a rough interim
6 decision and here is what would be our best bet?

7 Or do we just say this decision is
8 adequate? I'm trying to keep it into a simpler
9 range here. Dr. Hellmich.

10 DR. HELLMICH: I think everybody agreed
11 that more science in this case would be good. But
12 in the discussions that we have had here, it is
13 not clear to me what field studies we need to do,
14 what exactly we need to identify.

15 In some cases, you can study this for
16 100 years and still not have enough science, and
17 we have to be practical and say well is it good
18 enough as a preliminary.

19 It is frustrating, because I just
20 haven't had the experiments that we need to do in
21 order to get this product out. It is not clear to
22 me what they should be.

1 Granted, we need to find out what the gene
2 frequencies are, whether or not there is any kind
3 of heterozygosity. Those are still the questions
4 we have with the cotton products. They are still
5 the questions we have with the corn bore products.

6
7 I think that if we wait until all the
8 science is necessary to make these decisions,
9 we'll be here -- we won't be here. It will be our
10 grandchildren that will be here, and there has to
11 be some sort of balance.

12 I don't know how we get that.

13 DR. PORTIER: Dr. Hubbard, then Dr.
14 Andow.

15 DR. HUBBARD: I agree with that. I wish
16 to remind the panel that the western corn rootworm
17 can arguably be considered the most economic pests
18 in all the United States. There is more acres
19 treated with insecticides for root worms than for
20 anything else in the U.S..

21 If there is not an economic incentive to
22 gather basic biological data on this insect, I

1 don't know that we're ever going to get this data
2 because it is very difficult to do.

3 There has been a great deal of work that
4 has been done on this insect. It is just -- Dr.
5 Gould, is right in that there is much data that is
6 missing, is because it is very difficult to
7 collect.

8 Mandating that impossible data be
9 collected; I don't think is something that should
10 be done by this panel.

11 DR. PORTIER: Dr. Andow.

12 DR. ANDOW: You sort of gave three
13 options: go with what is there, stop, or
14 something else.

15 I would like to propose something else.
16 This comes out of -- when for another crop in
17 another country, when faced with very similar
18 issues of a lot of uncertainty in the scientific
19 information, grower acceptance being that they
20 would start slow and build up depending on what
21 they found, sort of a lot of grower input, and an
22 expression system that wasn't high dose.

1 They decided and this is Bt cotton in
2 Australia, they decided that we would limit in the
3 first year plantings to 15 percent done each farm,
4 and increase that to 5 percent every year, and
5 then evaluate what to do.

6 For them, they stopped at 30 percent
7 because the farmers felt that that was all that
8 was reasonably supportable. But if you think
9 about 15 percent of a farm when you are talking
10 2,000 acres, that's still a lot of land.

11 So there is a lot of -- and what they
12 wanted to do then is to do the experiments and
13 make the observations in that interim period in
14 which they could then establish where they should
15 end up.

16 I'm just going to low that one out use a
17 different alternative to what we have been looking
18 at.

19 DR. PORTIER: Dr. Gould.

20 DR. GOULD: Just want to address Bruce's
21 issue, I guess about these experiments that are
22 impossible to do.

1 I don't think they are.

2 To get to Rick's thing, I think two
3 major ones are: what is the initial gene
4 frequency, is there a polymorphism, what is the
5 selection intensity. You brought all of those up,
6 those haven't been done -- movement -- those can
7 be done. They actually can be done without
8 massive releases.

9 Those aren't the kind of questions the
10 kind of questions where you need massive releases
11 are the one that address the high dose question.
12 To need 80 percent Bt corn to answer that those
13 questions, I don't think is necessary.

14 What I want to remind you when there is
15 pressure to do those experiments they can be done.
16 There was a beautiful case with the Monarch
17 situation. Everybody waived their hands around for
18 a long time and then all of a sudden it was
19 something needed to be done.

20 Within two years, there was good, solid,
21 scientific data that nobody ever expected to see,
22 and the results were surprising in many cases. So

1 I think that if somebody said we have for do this
2 work before we release it, or they say if you
3 don't get the data on Monarch butterflies, you
4 can't plant that corn, then it will get done.

5 If we start saying, oh well, we don't
6 have the data but we'll let this one slide, we
7 have done that before, and whenever that is done
8 we don't get the scientific information. I think
9 it is time to say we need the science based risk
10 assessment and we don't have it yet.

11 Let's get the science scientific risk
12 assessment first then do the release.

13 DR. PORTIER: I think we're not going to
14 reach consensus on this issue. I think it is
15 quite clear you have a range of scientific opinion
16 from -- this is as adequate as it is going to get
17 because of the difficulties involved in studying
18 science and getting the information you need to
19 know you really have to get this.

20 It is time to draw a line, we really
21 need to get this information before you make a
22 decision.

1 Does that pretty much cover the range
2 from the panel?

3 DR. HELLMICH: I want to make one point.
4 I think that the opinion of the NCR 46 Committee,
5 which was the rootworm experts, should weigh
6 heavily here.

7 They are familiar with the issues. They
8 know what the science that needs to be done and
9 they have outlined what that science is.

10 I think that they are aware of, in most
11 cases, the limitations of what the information is.
12 They, as a committee, I think John said there was
13 14 members and several associate members, they
14 think for an interim plan 20 percent is
15 sufficient. Bruce, you are on that committee maybe
16 you could comment a little bit more.

17 DR. PORTIER: Dr. Hubbard.

18 DR. HUBBARD: In the May 30th, 2001,
19 letter to Dr. Matten, the NCR 46 did outline a
20 series of bullet points of research that should be
21 conducted. I think -- I'm not aware that -- I
22 think the majority of these bullet points -- the

1 research is currently being conducted.

2 And I think probably that maybe there is
3 -- we didn't have Dr. Gould sit in on that
4 discussion, and maybe there is a few more bullet
5 point that should be added. But I think that most
6 of the really important data that we have
7 identified we're going after.

8 DR. PORTIER: I'll speak for Dr. Gould.
9 I think his point is that that's great.

10 Now, wait for that data before making
11 your decision. Dr. Gould, would that be?

12 DR. GOULD: That would be pretty much
13 what I would say, but also to get to Rick's point
14 that we should rely on the people at that meeting.
15 If we have a letter from them that says 20 percent
16 seems adequate to us, if we had documentation
17 about why, then we could judge whether they were
18 the experts or not, or knew -- what was that based
19 on.

20 It just seems like it's in the consensus
21 that was made. I don't know how that judgment was
22 made. Why should I rely on that? I'm not sure.

1 DR. HELLMICH: If we had members here we
2 could ask them.

3 DR. GOULD: That would be fine. I agree
4 with that.

5 DR. PORTIER: Dr. Andow.

6 DR. ANDOW: I would like to make sure
7 that we know that Jon Tollefson, when he came to
8 speak, said he was not going to presume to speak
9 on behalf of all of NCR 46 and yet here we are
10 trying to say this is what NCR 46 is saying and
11 the reason he said that is because they agreed not
12 try to speak on behalf of them all, because they
13 all had different opinions.

14 So let's not try to force something on
15 their joint opinion here and let Bruce sort of
16 speak as much as about as he feels is appropriate.

17 DR. PORTIER: We can debate the issue,
18 but again, I will point out to the panel that we
19 don't seek consensus in this debate here.

20 Each of you are speaking for yourself
21 and the opinion you put fourth is your opinion if
22 there is consensus, I'm going to note it for the

1 EPA. Clearly here, we're not reaching consensus.

2 I think they are getting a very good
3 feel for the fact that this is still controversial
4 and that the decisions, the management decisions
5 are not going to be easy ones because the science
6 is not so clear to all the scientists involved,
7 that the decision is an easy one. Dr. Caprio.

8 DR. CAPRIO: I'll reiterate that given
9 the adoption rates that are projected by Monsanto,
10 I don't think anyone is saying that we have to
11 shut the whole process down. I don't
12 think there is any reason that we need to make
13 this drastic decision of 20 percent refuge at this
14 time. I think there is plenty of room to do
15 something like Dave says and do a graduated
16 introduction of the product, get a look at it, see
17 how it is doing on these farms and essentially put
18 that final decision off until we have some more
19 knowledge.

20 I just can't help but say that there is
21 not enough knowledge now, so let's defer.

22 DR. PORTIER: I don't want to reiterate

1 arguments. Unless we're going to introduce a new
2 argument here, a new point, I think it is quite
3 clear that there is no agreement on the panel.
4 Our write up will clearly indicate all the
5 different opinions and different points that have
6 been expressed.

7 So if there is a new point to be
8 expressed, then let's go at it. Dr. Hellmich.

9 DR. HELLMICH: I just want to say in the
10 past, when the academics couldn't agree on a
11 refuge amount it seems like the default was no
12 refuge.

13 And if we set that as a president, I
14 think that would be dangerous because it could be
15 interpreted like that.

16 I think 20 percent, because it is
17 compatible with the corn bore refuge amount is a
18 practical amount to have as a refuge.

19 DR. PORTIER: Dr. Andow.

20 DR. ANDOW: I was going to ask if any of
21 the people that haven't expressed an opinion on
22 this could, for the record, express an opinion if

1 they are prepared to.

2 DR. PORTIER: I'm sure none of them are
3 shy that they wouldn't express their opinion.
4 Everyone has been quite vocal.

5 Dr. Hellmich raised a point I want to
6 follow up on.

7 It was something several of you said
8 earlier about clearly zero is not a good idea for
9 a refuge.

10 Does the panel agree with that concept
11 at this time given the science that is out there?

12 DR. PORTIER: I'm seeing a lot of nods.
13 I see one no, Dr. Gould.

14 DR. PORTIER: Zero percent no refuge.
15 If they made a decision today and put no refuge
16 out there, would you agree that scientifically --
17 that's a bad decision because of all the modeling
18 exercises, because of what we have learned in
19 other situations even though they are high risk?

20 Is the panel saying that zero would be
21 a bad idea?

22 DR. PORTIER: I see a lot of the panel

1 members saying, yes. Dr. Gould.

2 DR. GOULD: I guess the reason why I say
3 no, is Bruce just brought up the point that there
4 may be no selection. Right? If there is no
5 selection, you don't need a refuge. We don't know
6 that so I don't know that it's a bad idea.

7 What I'm say is we don't have the
8 science to -- if you want us to just come up with
9 opinions, that's one thing. If you want us to
10 come up with a scientific opinion, I don't think
11 we have a basis to say that zero is a bad idea.

12 DR. PORTIER: Dr. Hubbard.

13 DR. HUBBARD: To quickly just agree with
14 Dr. Whalon in that it is not a conservative
15 approach to say that if they went forward at this
16 time. But it is not a science so --

17 DR. GOULD: I would I agree it is not a
18 conservative approach.

19 DR. HUBBARD: One other quick point to
20 address. If you wish to assess the reasons for
21 NCR 46 statements, it is part of the public record
22 for this meeting.

1 DR. PORTIER: Dr. Neal.

2 DR. NEAL: I was just going to reiterate
3 the point that Bruce made about the conservative
4 decision here. The larger the refuge the more
5 conservative.

6 DR. PORTIER: And the panel does agree
7 with that to some degree. I think 100 percent
8 refuge would be extremely conservative. Dr.
9 Caprio.

10 DR. CAPRIO: If I could just bring up
11 one point that Rick made. It is also in the NCR
12 46 is compatibility issue with the other Bt
13 products. Again, the stark product isn't out
14 there.

15 I don't think it is consideration we
16 should be making at this point. It is very
17 prominent in the NCR 46. We don't even have the
18 stark product yet. That shouldn't be a
19 consideration at this point, that can be when you
20 make a final decision down the road, but it is not
21 a decision that needs to be made now.

22 DR. PORTIER: Dr. Andersen, I hope we

1 have given you some guidance here. I think the
2 strongest statement we have made is that zero
3 percent refuge is not conservative.

4 Scientifically, that would be supported whether we
5 should choose zero is a different issue.

6 Then you have a broad range of opinions
7 on everything else.

8 DR. ANDERSEN: Yes, I think that
9 summarizes what you have provided us. Yes.

10 DR. PORTIER: Shall we move on to part B
11 of this question?

12 MS. ROSE: Part B of the refuge question
13 states: because the current plan being evaluated
14 is based on limited data and is an interim plan,
15 limitations to the total number of acres MON 863
16 might be considered.

17 If so, should the limitations be on
18 acres planted per state, or per county, or on
19 another basis during the time an interim IRM plan
20 is in place.

21 DR. PORTIER: Dr. Whalon, I'll let you
22 have a first stab on this one.

1 DR. WHALON: I would reiterate that the
2 goal of the refuge area, even in the discussion
3 that we have had today is the same, it doesn't
4 change. That is to ensure adequate production of
5 susceptible beetles in case resistance develops,
6 encourage their movement into transgenic corn,
7 swamp out any heterozygotes and hopefully
8 homozygotes resistance that may develop.

9 So the key to the IRM is preventing
10 excessive repetitive use of the MON 863 technology
11 on a local scale and if the IRM is going to be
12 successful, I think that's the focus.

13 One could presume two general
14 conditions. One, the -- notwithstanding the
15 discussion we just had, that an interim plan would
16 be adjustable. Hence, I would argue that a
17 conservative to a more specified approach would be
18 the way to go as more information is available.
19 If it were to be registered, or conditionally
20 registered.

21 I also think that the issue of local
22 scale, the issue of scale is one that we haven't

1 addressed and would be worth further discussion,
2 perhaps at the end of this refugesea discussion.

3 And I have a couple other comments but I
4 want to reserve those for a moment.

5 DR. PORTIER: Dr. Hellmich.

6 DR. HELLMICH: Putting caps on states,
7 or counties, or whatever, that suggests there is
8 going to be pretty heavy regulation in there. A
9 lot of times in the NC 205 committee meetings and
10 we talk about trying to get more -- or a handle on
11 what is going on -- I appreciate Dave's comment
12 that what the Australians are doing in cotton.
13 But in that case, you have a few hundred growers,
14 where it is not that difficult to keep tabs on
15 what is going on.

16 But in the case of corn growers, we're
17 talking about at least 10,000 growers that could
18 potentially be involved with this.

19 I think that trying to keep track of something
20 like that would be very, very difficult. Plus,
21 who is going to be out there policing it? That's
22 the other question.

1 I would like to think that we have had
2 the growers here and invite them as partners and
3 at least for an interim trust them. That they
4 aren't going to be planting more than 80 percent
5 of this product, because we're recommending 20
6 percent refuge.

7 I think that if you get too heavy handed
8 at the very beginning, you lose the trust of the
9 growers. If you don't have the growers on board,
10 you might as well -- you have lost the whole gain
11 of this -- you've lost the war.

12 And I think that bringing them on board
13 as partners, as stewards of this product and
14 educating them would be a better approach than
15 trying to get heavy handed and say that -- put
16 seed caps on this.

17 I think that that invites them to go
18 across the county and buy seed some place else, go
19 across state borders, you know there are ways of
20 getting around this. I don't think we want to get
21 into that.

22 So I would suggest at least on an

1 interim basis that we follow the stewardship and
2 trust and see how far that gets us and then we can
3 see. We're going to be keeping our eyes on you, a
4 let's get this right.

5 Rather than trying to follow the Australian model,
6 which I don't think would be practical in this
7 case.

8 DR. PORTIER: In terms of this question,
9 which is conditional on being limited, you are
10 saying limit it to national scale with a
11 particular percentage not cropped by this crop.
12 That's effectively what you have said.

13 Dr. Hubbard.

14 DR. HUBBARD: This question may come
15 from the portion of NCR 46 most recent letter that
16 states "resistance evolves at the local level so
17 the key to IRM during an interim registration
18 period is to prevent excessive repetitive use of
19 the technology at the individual farm level."

20 Monsanto's response to this question was
21 that limitations are not justified given that a 20
22 percent refuge will be placed on every farm.

1 Because of NCR 46 comments and the
2 comments of Dr. Whalon, et cetera, I would state
3 that if additional restrictions were placed, it
4 would be at the farm level and not at the county
5 level.

6 It is that local farm -- and so it would
7 just -- it wouldn't be a county thing, it
8 wouldn't be a state thing, it wouldn't be a region
9 thing. It would be how much can that individual
10 farmer plant on his own farm.

11 My own personal opinion on this is not
12 really science based. I think it is more agreeing
13 with Rick, on more a practical base in that, I
14 think in that beyond the 20 percent is not
15 justified at this time given the science that is
16 available. But that's not really science based.

17 DR. PORTIER: Dr. Caprio.

18 DR. CAPRIO: I agree that given John
19 Tollefson's comments yesterday about dispersal and
20 local use patterns that per farm basis is the most
21 appropriate way to go.

22 DR. PORTER: Dr. Andow.

1 I'm going let you jump in this since
2 actually brought it up in the last question, and
3 see if you have a different opinion.

4 DR. ANDOW: Thank you, I guess from the
5 science perspective, there is a lot of stuff we
6 don't know. However we do know where the
7 insecticide resistances evolved along the Platte
8 River, in these localized areas along the Platte
9 River, and that to a large extent that diffused
10 out from there.

11 I had the opportunity to fly over the
12 Platte recently, just seeing the landscape was
13 just eye opening. And that basically, you have a
14 strip along the Platte a few miles wide and it
15 sort of budes in and out depending on where the
16 irrigation zones are.

17 There are these little patches of crops.
18 It is in these little patches where these
19 resistance -- is where the resistance is evolving.
20 If we're going to think about local levels, we
21 could kind of specify at that kind of a level that
22 it is more likely at that scale than any other

1 scale because that's where it happened in the
2 past.

3 From a science perspective, that would
4 be where I would -- that would be the leading
5 hypothesis in my mind. Now on the implementation
6 side, I guess I would favor -- I know that it's
7 possible to monitor county level use because
8 that's what EPA does for the other crops.

9 And it would also be possible to
10 implement things at the farmer level. It would be
11 harder to implement things at smaller than county
12 level but larger than farmer level, so there are
13 some constraints to how we think about that.

14 It would seem that the leading
15 hypothesis would be a several mile by several mile
16 area would be, if we're defining local that, would
17 seem to be what we have in the rootworm case.

18 DR. PORTIER: Any other opinions on this
19 particular issue? Dr. Gould.

20 DR. GOULD: Since we're not really
21 talking about science but rather how we are
22 dealing with growers and interactions I think the

1 Australian example is a very useful one.

2 I think this idea we have to allow 80 percent, to
3 give the growers the idea that we are with them,
4 may be a little misguided.

5 I think it was nice to have growers come in here
6 and give us their opinions, but again, we're
7 assuming this is economics by having the growers
8 come in. You talk to the growers about what's
9 going on, they can't individually once a product
10 like that is out, not use it if the other farmers
11 are.

12 But I think you have to look to
13 economics to find out what benefit they are really
14 getting from those products and if you are really
15 with them.

16 Are you really with them by having them
17 use 80 percent? Maybe you are, maybe it is going
18 to decrease pesticide use and increase their
19 health. I would like to know more about what is
20 going on to make that kind of comment that the
21 Australian example won't work.

22 Maybe you are more with the farmers by

1 saying, look we have something, we want it to last
2 a long time. Work with us. We'll start out with
3 a low amount. We will really test it well so you
4 will have something sustainable that will be
5 affordable over a long period of time -- because
6 of the cost of pesticide things and new products
7 goes down over time if they don't have to be
8 reinvented.

9 Maybe that's when you are with the
10 farmer.

11 DR. PORTIER: Any other comments on this
12 issue?

13 You have got, sort of, two basic points
14 of view. I must admit I'm more in agreement with
15 Dr. Gould, and to some degree Dr. Andow's point of
16 view, that potentially a phase in period would not
17 be a bad idea, given the uncertainty in the
18 science we're talking about here.

19 And while I'm not sure about the level
20 issue, clearly that's a difficult thing for me to
21 think about, but the phase in if I had to look at
22 it -- certainly some of our previous comments

1 about geographical locations and potential
2 differences in geographical locations in terms of
3 the types of pest that are there, et cetera,
4 should be taken into account in deciding where you
5 place your scale experiments, your scale up of
6 planting.

7 DR. PORTIER: Any other comment? I
8 think --

9 DR. WHALON: I have a comment. I'm not
10 sure that I heard the response in the way that you
11 have summarized it.

12 DR. PORTIER: I'm say there is two basic
13 responses here.

14 DR. WHALON: I would say that there is
15 at least three. The first being no refugee
16 because you don't implement the technology until
17 you have the science.

18 The second being that you go with a 20
19 percent.

20 And the third being that you use some
21 sort of graded conservative mode of implementation
22 greater than 20 percent less than 100.

1 DR. PORTIER: You are correct. I'm
2 reading the question literally in the sense that
3 the question is conditional on us doing this.

4 But that is correct. The previous
5 comments from the previous question about not
6 moving forward until you get better science still
7 obviously holds.

8 Any other comments?

9 Dr. Weiss.

10 DR. WEISS: Well, assuming that a refuge
11 does go forward, if I look at this question, I
12 think the question is how many acres should we
13 limit that to. If we assume the 20 percent, and
14 then the question becomes in my mind where does
15 that happen, does that happen on a state, or
16 regional, state, county or farm level.

17 I think based on what we understand
18 about where resistance to the cyclodiene's
19 emerged, that population spread through the corn
20 belt, I think Dave is correct that it seem today
21 start in a fairly localized area. So
22 if, in fact, and there is a lot of if's in this,

1 but if, in fact, resistance to this product occurs
2 in the same scenario, then I would think that if
3 we do go forward with refuge that we need to have
4 that on a farm basis, on a more local basis, than
5 on a state level, certainly on a county level,
6 perhaps. My preference would be to go probably on
7 a farm basis.

8 DR. PORTIER: Dr. Neal.

9 DR. NEAL: I would also like to add that
10 with our experience with rotation resistance in
11 the western corn rootworm that that also started
12 as a local phenomena in areas where crop rotation
13 was greater than 80 percent of the control applied
14 to western corn rootworm.

15 And that that resistance is then spread
16 out of that area, so it is another exam will where
17 the local level is extremely important.

18 DR. ANDOW: Do you have a sense as to
19 how big local is in the case that you are
20 referring to?

21 DR. NEAL: I really can't address how
22 big the local is.

1 I guess I would refer you to Dave
2 Onstad's model, for development of rotation
3 resistance that is published in 2001.

4 DR. WHALON: Just a comment.

5 DR. PORTIER: Dr. Whalon.

6 DR. WHALON: For the record I think we
7 ought to refer to the discussion that went on
8 before and the lack of assurance essentially a
9 baseline assurance that we knew what was happening
10 in that rotation resistance phenomena before it
11 was observed.

12 Whether a certain portion of the
13 population was actually doing that all along is
14 assertion now that it happens broadly.

15 DR. PORTIER: Dr. Hellmich.

16 DR. HELLMICH: With the refuge plan as
17 it is right now, the refuge would be either
18 contiguous with the edge of the field or inside?
19 That's going to be the next question I know is
20 going to be or within the field. I think the
21 assumption is that refuge will be on an on farm
22 basis.

1 What we're thinking about here having
2 state caps or county caps, I think there may be
3 some concern that growers won't be implementing
4 refuge?

5 So I'm a little bit confused here,
6 because the refuge as it is stated is on an on
7 farm basis just like everybody suggested that it
8 should be.

9 The question here is should there be
10 caps, because I think that there is a little bit
11 of mistrust that the growers will not follow the
12 recommendations.

13 I just want to make that point clear.

14 DR. PORTIER: Any other comments on this
15 question? New points.

16 DR. NEAL: I guess I would like to
17 disagree with Rick on that particular point. It
18 is not a question of trust of growers or lack of
19 trust of growers.

20 It is a question of if you create the
21 resistant monster, how does the percentage of
22 acreage affect its spread, the percentage of acres

1 that is treated.

2 And if you have a situation where
3 resistance would happen to develop or the
4 individuals with that trait developed within an
5 area, then if there is a lot of acreage in that
6 area that is planted to the transgenic crop, then
7 the resistance will be established more widely
8 within that local area.

9 Whereas if you had less selection by
10 having lower amounts within a particular area,
11 then it would not establish as rapidly.

12 DR. PORTIER: So -- tough one to
13 summarize, I guess, because I think there is a
14 kernel in there that basically said again,
15 conditioning on doing this.

16 There are parts of the panel that don't
17 think you should -- that the science isn't there
18 to do this. Conditioning on doing this, I think
19 everyone concluded that if resistance is going to
20 merge, it is going to happen at the local level,
21 at the farm level.

22 And that if you are going put acreage

1 restrictions, there is -- there is a group of the
2 panel that just doesn't think acreage restrictions
3 are needed beyond the refuge restrictions.

4 But I think what people are telling you
5 scientifically is that if you are going to put
6 acreage restrictions, then put them in the
7 smallest, most practical sense, counties, or
8 whatever, because there is no reason to go bigger
9 than that scientifically, because the resistance
10 is going to occur at the local farm level itself.

11 Is that sort of catching the point, if
12 you are going to go with an interim plan?

13 DR. PORTIER: Any other comments? It will be
14 much more detailed obviously in the report.

15 Okay, if we could move to question C
16 please.

17 MS. ROSE: C states the panel is asked
18 to comment on the adequacy of infield row strips
19 and or immediately adjacent blocks to delay
20 resistance during a three year period and whether
21 one method or another is preferred.

22 DR. PORTIER: Dr. Hubbard.

1 DR. HUBBARD: Although 15 percent or
2 approximately of the post mated preovipositional
3 females do migrate some distance, we don't know
4 how far, the majority of adult movement takes
5 place within a cornfield and it has been
6 categorized as trivial movement.

7 These data have led some to believe that
8 strips may serve as a better refuge than blocks.

9 New data from Nebraska from 2002, notes
10 that there is very little, if any, movement of
11 females before mating.

12 Now the Onstad manuscript that came out
13 this past year indicates that blocks may serve as
14 better refuge than strips.

15 However, I think as we pointed out, bad
16 data in, results in bad data out. I don't think
17 that we have enough data. I don't think that that
18 model has been validated, and I don't think we
19 have enough data to favor strips or blocks at this
20 time.

21 DR. PORTIER: Any other members of the
22 panel who -- whether to asked to comment on this

1 or not have a different opinion?

2 Dr. Gould.

3 DR. GOULD: I would agree with you we
4 don't have enough data. But to add to that, it
5 should be noted that in the Onstad model, the
6 infield strips are moved within the farm each year
7 whereas the blocks are maintained fixed.

8 So if you want to understand that
9 comparison on a science based, you have to
10 recognize if he had moved those fields around so
11 the farmer doesn't plant the refuge in the same
12 place each year, he might have had a different
13 result in that model.

14 DR. PORTIER: Dr. Caprio.

15 DR. CAPRIO: I'll also point out that
16 there is a significant impact on the width of
17 these infield strips that determined amount of
18 isolation and impact of source sync dynamics and
19 one of the things we have learned with cotton, the
20 smaller, the more narrow the strips are, and
21 that's pretty much what the Onstad models assumes,
22 is they become much less effective.

1 And that can be -- there just isn't the
2 data yet to know what would be an appropriate
3 width.

4 DR. PORTIER: Any other comments?

5 Dr. Whalon.

6 DR. WHALON: Just a comment regarding the temporal
7 delay or the phenological delay in the development
8 of corn rootworm out of MON 863 versus the same
9 hybrid without expressing the protein.

10 That is that I think this is an
11 understudied and not well understood phenomena and
12 that it could contribute significantly to
13 intermating in the outcome of a refugesea
14 strategy. And in that context, then, I think that
15 the current resistance management plan as it is
16 articulated is too restrictive. It may be
17 actually advantageous to have a different hybrid.

18 Say, if you knew that the delay was 10
19 days, you planted 110 day corn with the MON 863
20 protein in it, you could plant 110 corn in the
21 refuge and synchronize the beetles.

22 DR. PORTIER: Dr. Andow, and then Dr.

1 Gould.

2 DR. ANDOW: So the new point would be to
3 invert the question a little bit and ask, is there
4 any reason to exclude one or the other as being
5 adequate even if we can't distinguish them at this
6 point.

7 My perspective on that would be there
8 is no reason to exclude one or the other.

9 DR. PORTIER: I would agree. In fact, I
10 was going to make that point, Dr. Andow, and
11 follow up by suggesting that because there is no
12 reason to exclude, the interim management plan, to
13 be conservative, should include aspects of both so
14 that it can be evaluated and we can get the
15 scientific information that helps us to decide at
16 a later point which was more effective, if either
17 are effective or needed, if you actually would
18 move forward with this.

19 Dr. Gould and then Dr. Hubbard.

20 DR. GOULD: Dr. Onstad couldn't be here with
21 us today. He sent me an e-mail, just to make sure
22 that something about his model was understood. I

1 just want to get that on the record.

2 Onstad, et al., he says 2001 studies
3 studied strips that were 6 to 12 rows wide. Rows
4 more than .5 meters apart, the strips are not 9 to
5 18 meters from the center of the cornfield as the
6 EPA question Number four indicates. This is the
7 distance from each Bt corn strip to refuge rows.

8 DR. PORTIER: Dr. Hubbard.

9 DR. HUBBARD: My point is just to
10 clarify the biology of the insect for the panel so
11 they are not confused by Dr. Whalon's comment.

12 I think the delay in emergence of the
13 females from the refuge or from the MON 863 corn
14 is actually something that is going to favor the
15 delay in resistance, because it gives time for the
16 males from the refuge to move in to the MON 863 to
17 mate and compete with resistant males.

18 DR. WHALON: My only comment relative to
19 that is, I think that is a spatial question and a
20 movement question. It relates to understanding
21 what the distance per day of males are. And in
22 essence you could move them out of the immediate

1 area beyond the MON corn if you waited too long.

2 So, I'm talking about trying to focus on
3 synchrony, understanding movement well enough to
4 do that.

5 DR. PORTIER: Dr. Caprio.

6 DR. CAPRIO: I think there is an
7 important point with this asynchrony. If you did
8 this sort of thing where you were planting refuges
9 so they would come out synchronous with what are
10 essentially susceptible individuals, coming out of
11 the refuge.

12 What happens if you have a resistant
13 individual that has normal development time? It
14 has then emerged far ahead of that.

15 And again, I think we need to remember
16 that we are not so much concerned with delay of
17 susceptibles. We want to know what is happening
18 with those resistant individuals, and we want to
19 have our refuges prepared so that those
20 individuals are emerging synchronous with
21 resistant individuals or heterozygotes.

22 I think we need to bear that in mind and

1 not place too much emphasis on developmental
2 delays of susceptible insects. DR.

3 PORTIER: So if I can ask the panel again, getting
4 back to the original question on C, is there any
5 disagreement from the panel that there is not
6 enough research in hand, right now, to make a
7 decision between these two choices?

8 Does anyone disagree with that overall
9 evaluation? We had a lot of discussion about what
10 issues if we saw them, might change our mind on
11 that, but currently I think that's the answer to
12 the question.

13 Any new comments on C?

14 DR. HELLMICH: The only thing that I
15 would want to say is giving a grower the option of
16 doing one or the other may make it a more
17 practical for them because then they could make a
18 decision based on the equipment and their farming
19 practices, and I think that's important.

20 DR. PORTIER: Yes, but also I don't want
21 to lose my comment. And that is that it is
22 important that the agency monitor this to some

1 degree to make sure that they get both aspects.
2 Because we won't get the data we might need if we
3 don't consider the comparison groups.

4 If we can move to question letter D.

5 MS. ROSE: The panel is requested to
6 comment on the width of the in field strips as an
7 example the agency is aware that at least 6 to 12
8 consecutive rows have been discussed in the
9 Onstad, et al., paper.

10 DR. PORTIER: Before I go to the panel
11 for comment on this question, we'll note the
12 previous comment about the Onstad, et al., paper.

13 I will note that the panel has to some
14 degree commented on this issue in the previous
15 question. So now we will go and look at the
16 comments on this question part D.

17 Dr. Caprio, why don't we begin with you,
18 since you were giving us considerable detail on
19 the strips a minute ago.

20 DR. CAPRIO: I guess, if I recall, the
21 figure was -- female movement was approximately 10
22 meters per day.

1 DR. GOULD: Seventeen.

2 DR. CAPRIO: Under those conditions, any
3 females that emerge out of a refuge this narrow
4 will lay the majority of their eggs in transgenic
5 corn.

6 I haven't run the data, I haven't run
7 the numbers, but my gut feeling is that this is
8 quite a narrow refuge and would be on the edge
9 where you would speed up the rate of resistance
10 evolution. Particularly compared to these out of
11 field refuges.

12 DR. PORTIER: For clarify you are saying
13 6 to 12 consecutive rows is somewhat narrow --

14 DR. CAPRIO: Is on the narrow end of
15 what I would consider just ad hoc, acceptable.

16 DR. PORTIER: Other lead presenters on
17 this, Dr. Hubbard.

18 DR. HUBBARD: I don't believe that we
19 have data to -- well, to verify a row width that
20 is best that we should endorse.

21 One point of clarity in my own personal
22 research is that, people have stated that my data

1 has indicated little, if any, movement across the
2 row for larval movement.

3 That is the case for normal width rows,
4 30 inches or more, but we did have across the row
5 movement narrow row corn which does exist in sugar
6 beet areas in Minnesota. If we don't
7 want larval movement across strips, you probably
8 don't want it every other row, row for instance,
9 especially in narrow row corn.

10 DR. PORTIER: Dr. Whalon.

11 DR. WHALON: Just two comments that I
12 think might add to it, and that is that within,
13 whatever the minimum is, whatever the minimum that
14 one would prescribe, if we knew what that was, if
15 we had the information to make that decision
16 scientifically, I would say then that the second
17 focus ought to be flexibility such that growers
18 with different kinds of planting schemes pivots,
19 as opposed to rectangular fields, as opposed to
20 contour, et cetera would have the flexibility to
21 fit that into their production system.

22 DR. PORTIER: Dr. Hellmich.

1 DR. HELLMICH: I have a comment. If you
2 have a 12 row planter or you have three boxes on
3 the outside that you are putting your refuge in,
4 what you are going to have there is going to be 6
5 row strips alternating with 18 row strips.

6 Mike, my question for you is if you have
7 these strips out there, some of those beetles are
8 going to be ovipositing in those 6 row strips not
9 just in the Bt strips.

10 And I don't know if your model takes
11 that into consideration or not, that's one point.
12 The other point I have made this point in a
13 previous science advisory panel, is that we should
14 be careful because we may be setting guidelines
15 that would exclude some growers from using the
16 technology.

17 For example, if you had a small -- a
18 grower who has maybe only had a 6 row planter, he
19 would only be able to do two boxes on the outside,
20 and in that case, he would have four row strips.

21 And I think in some cases where
22 equipment limits the -- there are equipment

1 limitations that smaller strips may be acceptable.

2 Otherwise, we get to the point where we
3 are excluding some people from the technology and
4 not others. And I don't think we want to make
5 those recommendations. That's
6 it.

7 DR. PORTIER: Dr. Gould.

8 DR. GOULD: I want to comment on this
9 row, the thickness of whatever the strips. I
10 don't think I agree. We don't have the science to
11 know.

12 Since we're not dealing with a high dose
13 that movement of larva in whatever -- narrow row
14 corn probably doesn't manner so much.

15 The other thing we ought to consider in
16 terms of plot sizes, is one seed that we should be
17 dealing with. Actually, mixtures of seed in the
18 seed bags there is no reason not to use seed mixes
19 which might be an easier way to implement
20 resistance management at this stage.

21 The science would indicate that that
22 might be even better than anything else we're

1 talking about since we're talking about a moderate
2 dose. I would like to say that the size could be
3 anywhere from one seed to a field and that gives
4 the farmer a lot of flexibility.

5 DR. PORTIER: Other comments? Dr.
6 Caprio.

7 DR. CAPRIO: My concern just from the
8 Onstad model, when he shows the difference between
9 the out of field and in field strips, is one of
10 the things you are doing is you are more
11 approximating random
12 oviposition across the field as you get these
13 narrow in field strips, and you get source sync
14 dynamics.

15 That evolves resistance more rapidly and
16 the ultimate direction you would head for would be
17 a seed mixture in that case. That would be
18 perhaps the most rapid rate of resistance
19 evolution if one can carry that comparison. And I
20 may be carrying the Onstad model a little bit
21 further than I should.

22 That's the direction I worry that a seed

1 mixture would go in.

2 DR. PORTIER: The other comments Dr.
3 Gould.

4 DR. GOULD: I think that's very
5 possible. I guess the reason I keep pushing these
6 things is we don't have the science to know.

7 It could turn out is that a seed mixture
8 would alleviate all of the selection pressure that
9 we are worried about, because the beetles would
10 indeed accumulate on the non Bt stuff. I think
11 Bruce has some information on that, but we could
12 certainly use a lot more. That might be a very
13 effective strategy for delaying resistance.

14 DR. PORTIER: Have we covered the issue?

15 MS. ROSE: I appreciate the comments on
16 seed mixtures, but the question wasn't about seed
17 mixtures it was about the number of rows. I
18 believe what I have heard is that 6 to 12 rows may
19 be, from a science basis, too narrow. However, I
20 haven't heard any information on what wouldn't be
21 too narrow.

22 DR. ANDOW: I also heard very strongly

1 that there isn't enough information to really make
2 that determination.

3 That from a theoretical perspective on -
4 - and based on other in the check cotton system it
5 might be. But there isn't sufficient information
6 to make that point for this particular.

7 MS. ROSE: If that's the case then I
8 guess I go back a question to the appropriateness
9 of in field strips.

10 If we don't know how many strips, I'm not
11 sure that we can recommend that as an option.

12 DR. PORTIER: I think on the previous
13 question, the panel basically said we don't have
14 enough information to tell you which of those two
15 options to choose, in field strips or external
16 plots.

17 Now we're telling you -- you are forcing
18 us on the infield strips, and we're telling you we
19 don't have enough information to tell you how wide
20 those strips should be.

21 If you had asked the question how big
22 should the external plot would be we probably

1 would have answered that question by saying, we
2 don't have enough information to be able to answer
3 that question for you.

4 Am I getting the census of the panel
5 across here?

6 The argument that Dr. Gould was bringing
7 in was that when you think about this, don't just
8 think rows. Since we really don't have enough
9 information, also consider seed mixtures if you
10 are going to look at this. Which is the ultimate
11 in terms of narrow necessary of rows, one seed
12 apart check.

13 DR. PORTIER: Dr. Hubbard and Dr.
14 Andersen.

15 DR. HUBBARD: A quick point, I also
16 think Dr. Gould's comment is that a single row is
17 adequate, single row strips, because larval
18 movement doesn't matter.

19 DR. PORTIER: I don't think he said
20 adequate I think he said that not enough science
21 to justify the difference between one row and five
22 rows. He is not saying it is adequate. Dr.

1 Andersen.

2 DR. ANDERSEN: Only if everyone is done.

3 I just want to say that with apologies
4 for the mistake we made, we believe we can correct
5 the comment from the e-mail from Onstad, that if
6 the last word of that introductory paragraph was
7 the word strips instead of field we think we were
8 correct, so we apologize for the mistake.

9 DR. PORTIER: Ms. Rose, this is not the
10 answer you wanted. Is it clear, are there some
11 other issues?

12 DR. ANDERSEN: I think we're ready to go
13 on.

14 DR. PORTIER: Let's move onto letter E.

15 MS. ROSE: Part E states please comment
16 on EPA's conclusion that alternate hosts should
17 not be considered and refuges should only consist
18 of non Bt corn that are similar hybrids to the Bt
19 corn.

20 DR. PORTIER: Dr. Hellmich.

21 DR. HELLMICH: I agree with that
22 statement.

1 DR. PORTIER: Dr. Caprio.

2 DR. CAPRIO: I agree with that
3 statement.

4 DR. PORTIER: Dr. Hubbard.

5 DR. HUBBARD: I agree with the
6 statement. I also have more information that is
7 applicable, I believe, as well.

8 Especially when you are getting towards
9 stacked events with Round Up ready or herbicide
10 resistance there is a number of alternate hosts
11 that are out in the cornfield that larvae can
12 develop to second or third instar on, and then
13 move to the Round Up ready corn with the Bt gene.
14 In the low dose event maybe it doesn't matter.

15 But anyway, the larvae, if you spray
16 that herbicide resistance later on, after the
17 larvae have already hatched, you are going to have
18 a lot more adults coming out of that field than
19 you may expect. We have data that we're currently
20 working onto document that.

21 DR. PORTIER: Dr. Whalon.

22 DR. WHALON: I think I would just take

1 an attempt to summarize by saying that I believe
2 that unlike the European corn bore, corn rootworms
3 have limited alternative hosts, however
4 alterations in the corn herbicide incorporation
5 practices in particular, RoundUp Ready or
6 something like that could change this whole
7 perspective and it needs to be reviewed when that
8 happens.

9 DR. PORTIER: Any other comments from
10 the panel? Dr. Weiss.

11 DR. WEISS: As I read this question or
12 this statement, I guess, I do not believe that
13 alternative hosts need to be considered.

14 I believe that the refuge should
15 consists of non Bt corn, but help me understand
16 why the refuge has to be a similar hybrid to the
17 Bt corn if the goal of the refuge is to produce
18 susceptible males to mate. Why does it
19 necessarily have to be a similar hybrid?

20 DR. PORTIER: Any comments from the
21 panel? Dr. Whalon.

22 DR. WHALON: Actually, that's my point.

1 I think that by mixing days, you could cover the
2 perspective that Dr. Caprio introduced and that we
3 talked about somewhat yesterday, in that you could
4 vary your strip or your block with different
5 phenologically maturing corn, hopefully
6 influencing the larvae.

7 Hence, you would have a longer emergence
8 period and be able to cover a resistant and/or
9 resistance on either end of the scale if there is
10 an asynchrony that occurs.

11 DR. PORTIER: Dr. Weiss.

12 DR. WEISS: I agree with that Mark. If
13 I look at the goal or the purpose of the refuge,
14 it is to attract females, also that susceptible
15 females to lay eggs for the next season to produce
16 susceptible males to mate with the females.

17 So to me, the refuge really needs to
18 serve a dual purpose. In one year, it needs to be
19 an area where susceptible females can deposit
20 eggs, and in the succeeding year then, it has to
21 be a place where susceptible males are produced.

22 You may not want -- I guess where I'm

1 getting at -- you may not want the similar hybrid
2 if you are trying to attract susceptible females
3 to deposit eggs in that particular year. You
4 follow me, Mark, is that -- I think we are on the
5 same page.

6 DR. WHALON: I'm just saying there is a
7 lot more flexibility here. Why limit the
8 flexibility when you may be able to address
9 resistant episodes that you can't anticipate as
10 priority, so why not take the shotgun approach as
11 opposed to narrowing your response in trying to
12 promote the refuges in potential mating that can
13 occur from.

14 DR. PORTIER: Any other comments, Dr.
15 Andow?

16 DR. ANDOW: Would one way to address
17 that is that it is similar hybrids or similar
18 agronomic practices or planted late. If the
19 trouble with changing this kind of recommendation
20 that it's similar, one has to also give some
21 recommendations of how it could be dissimilar so
22 that it is something that people can understand.

1 DR. PORTIER: Dr. Weiss.

2 DR. WEISS: Thank you, Mr. Chairman.

3 Dave, I haven't -- I guess I need to
4 think it out.

5 When I'm looking at the refugee, I see
6 it having to do that dual purpose. It has to
7 attract susceptible females to deposit those eggs
8 and then it has to be a place which are going to
9 produce males the next year.

10 And we do know that if we have a field
11 that tends to be later in phenology, it tends to
12 attract, maybe not attract, but hold females for
13 oviposition. We have used this strategy for many
14 years to produce situations where we have high
15 rootworm pressure the succeeding year.

16 So that's the other part. Then the
17 other part, it has to be planted, it seems to me
18 early enough because we know if we delay planting
19 we tend to select for more females, but what we
20 want to produce the next year is a lot of males.

21 We want to make sure we're planting it
22 early to make sure we're producing enough males.

1 One year it has to be late to get the females to
2 lay the eggs; the next year has to be planted
3 early to make sure produce enough males.

4 If that's the goal, I don't see how it
5 necessarily has to be of similar hybrid and
6 similar agronomic to the Bt corn.

7 I don't know if my logic makes sense,
8 but to me it does.

9 DR. PORTIER: If I can understand in my
10 layman's terms here, what you are saying is it
11 doesn't matter about alternate hosts or other corn
12 whatsoever, as long as it satisfies the two
13 necessities of a refuge as you have stated them it
14 should be sufficient.

15 And do we have enough science behind us
16 to say that there are no alternate hosts that
17 would satisfy those two criteria?

18 DR. WEISS: I think for the western corn
19 rootworm alternative hosts don't exist from a
20 biological, practical standpoint.

21 Northerns, I think, Bruce, correct me if I'm
22 wrong, I think northerns have a little bit

1 broader, but again, I would think from a
2 biological standpoint it would be relatively
3 minimal. I can't comment on the Mexican and we
4 stated yesterday that the southern has a huge host
5 range.

6 DR. HUBBARD: Some northern corn
7 rootworm adults will be coming off grassy areas
8 around corn and that sort of thing. I have
9 collected in adult corn rootworm off of --
10 trypscombactoloides (ph) that I did not infest
11 this past summer.

12 DR. PORTIER: Dr. Andow.

13 DR. ANDOW: I believe Dr. Weiss'
14 comments were -- pertained more to the second
15 clause of this question.

16 DR. PORTIER: I brought it back to the
17 first clause on purpose because his logic held for
18 the first part as well.

19 DR. ANDOW: Thank you.

20 DR. PORTIER: That's why I challenged
21 the question about are there alternative hosts. I
22 think we have said that's less of a likelihood

1 that there are alternate hosts so that statement
2 is probably stronger support from the Science
3 Advisory Panel than the statement about it being a
4 similar Bt hybrid. Dr. Caprio.

5 DR. CAPRIO: I would just say we talk
6 about simplicity and lack of knowledge and so on.

7 In similar hybrids, you at least know
8 what you are getting. If you take that wording
9 out, there is all sorts of ways of growers that
10 might be able to plant hybrid that are no ideal.
11 We might be able to think of more ideal ways to do
12 it.

13 But similar hybrid is a very easy way.
14 It is easy for growers to understand. It works
15 well.

16 I would just say in terms of simplicity,
17 I think you get very complex if you start altering
18 that similar hybrid question.

19 DR. PORTIER: Again, bringing it back to
20 the science, are you saying there is a greater
21 likelihood that you are going satisfy Dr. Weiss'
22 two main criteria by using a similar Bt hybrid

1 than you would by using some other hybrid.

2 I don't know if the panel disagrees or
3 agrees with that as a general rule.

4 I don't see any disagreements but at
5 least that's we have got that out as part of the
6 discussion.

7 DR. PORTIER: Any other comments on this
8 question? No, shall we move onto letter F?

9 MS. ROSE: The panel is requested to
10 comment on whether and if so under what conditions
11 insecticides could about used in the refuge.

12 DR. PORTIER: Dr. Hubbard.

13 DR. HUBBARD: As stated previously,
14 insecticides should not be used for adult beetle
15 control whether intentional or fortuitous unless
16 it is applied to both the refuge and transgenic
17 areas equally.

18 Because of density dependent mortality
19 beetle production for plants treated with
20 tradition soil insecticides is sometimes higher
21 than beetle production from untreated plants
22 depending on the environmental conditions, the

1 product being used in their interactions, the
2 adult emergence from soil insecticides targeting
3 corn rootworm control ranges from 27 percent to as
4 I stated more than one hundred percent of the
5 nontreated chick.

6 Recent data from Nebraska, looking at
7 some of the more recent seed treatments, indicate
8 no differences in adult emergence between the
9 untreated control and the seed treatments.

10 Fecundity is also an important issue,
11 and variable data has been produced from
12 traditional soil insecticides in the past. The
13 data that is currently being collected for several
14 modern seed -- data for this is currently being
15 conducted from seed treatments that are under
16 consideration for registration.

17 In areas where transgenic technology is
18 most likely to be adapted, there is also likely to
19 be a history of insecticide use for corn rootworm
20 control.

21 Depending on the environmental
22 conditions, yield loss from corn rootworm can be

1 extreme and growers will expect the option of
2 applying insecticides.

3 I believe that soil insecticides and/or
4 seed treatments labeled for and targeted toward
5 corn rootworm control should be allowed.

6 DR. PORTIER: Dr. Whalon.

7 DR. WHALON: I think that there are
8 several comments that have been made previously
9 that are germane to this. I would just summarize
10 my comments by saying that there is, I think, a
11 tacit assumption particularly among the NCR 46
12 submission that we had in the public record, that
13 seed and soil banning insecticide treatments will
14 be necessary in the majority of the corn rootworm
15 refuge acreage.

16 I don't think that I disagree with that.
17 I can't speak for the panel yet, but I think
18 generally that's probably -- general conclusion.
19 Since these practices prevent economic injury in
20 the refuge areas and yet produce up to 30 percent
21 of population of corn rootworms seems logical and
22 reasonable that that be allowed.

1 I think the greater question is what
2 happens to other insecticides that are applied to
3 either or both, because of economic injuries from
4 other pests.

5 DR. PORTIER: Dr. Hellmich.

6 DR. HELLMICH: I agree with Dr. Hubbard
7 in the NCR 46 panel that soil insecticides and
8 seed treatments should be allowed. I agree with
9 Mark, also that there may be some question about
10 what some of the other aerial sprays are doing.

11 I'm sitting here trying to figure out
12 what the strategy of the growers is going to be.
13 In some areas in Nebraska as we well know, they
14 spray for adults.

15 If they have the Bt option, I just
16 wondered if they will abandon that altogether.
17 Because if they have to spray both Bt and non Bt
18 if they are going to be doing that, they might as
19 well just spray and forget about using the Bts.

20 I'm just curious how the growers will
21 respond to this, but I agree with all the
22 statements that have been said, is the bottom

1 line.

2 DR. PORTIER: Dr. Caprio.

3 DR. CAPRIO: I'll just reiterate what I
4 have said before.

5 Those sprays would reduce the effective
6 size of that refuge that just needs to be kept in
7 mind. It seems like growers would need to put on
8 those insecticides.

9 DR. HELLMICH: Talking about soil
10 insecticides, right?

11 DR. PORTIER: Do you agree that --

12 DR. CAPRIO: I agree --

13 DR. PORTIER: -- you spray both the
14 refuge and the Bt crop. Do you agree with Dr.
15 Hubbard's comment that if you are going to spray,
16 you spray both?

17 DR. CAPRIO: If you are going --

18 DR. PORTIER: If you are going to use an
19 insecticide.

20 DR. HELLMICH: Aerial?

21 DR. CAPRIO: I don't know -- as far as
22 soil insecticide, I would suggest that that only

1 be used on the refuge, but that then you -- that
2 goes back to the comments about refuge size, and
3 we didn't agree on that.

4 SPEAKER: We agree on that. I think he
5 was talking, though, about subsequent treatments
6 for other pests.

7 DR. WEISS: Mr. Chairman, I think I need
8 a little clarification here. I think you and the
9 panel have lost me.

10 Bruce, did you say that growers should
11 be able to use an insecticide at planting in the
12 refuge?

13 DR. HUBBARD: Yes.

14 DR. WEISS: But you did not say they
15 could use an aerial application for adult control.

16 DR. HUBBARD: If they use anything that
17 is going to be kill adults it should be treated
18 equally to the refuge and the MON 863.

19 But Dr. Andow had a very important point
20 on this in terming of the timing of that, could
21 affect refuge. I had not thought of that before
22 he mentioned it.

1 DR. WEISS: I just want to make sure I
2 was on the same page. If I look at this and allow
3 me to kind of explain what I'm what I'm thinking,
4 if we look at this in a growing season, to me a
5 producer if we went with an on farm refuge, we
6 would actually have two refuges perhaps.

7 We would have the attractant refuge
8 planted to attract females and hold females for
9 oviposition in the late summer and we would have
10 other refuge being used to produce males that
11 growing season.

12 If the production refuge, if -- and I
13 guess this is more of a question, in the
14 production refuge component that was being used to
15 produce planted early produce a high population of
16 males, if that was treated with an insecticide, do
17 we know enough of how that would reduce male
18 population compared to female population.

19 Has that study been done?

20 DR. PORTIER: Dr. Hubbard.

21 DR. HUBBARD: Actually, yes, the 1991
22 the document.

1 DR. WEISS: I'm trying to -- I thought
2 that work had been done but I couldn't recall how
3 they had done it.

4 My point is I think producers should not
5 have to suffer an economic loss. So in the
6 production refuge that is going to be used to
7 produce males, if that was scouted, and above the
8 treatment threshold then growers should be able to
9 use a soil insecticide to protect that block or
10 that refuge. My question is more biological, then
11 if they do that, will that impact the ability of
12 that refuge to produce males, and that's the
13 question.

14 DR. PORTIER: Dr. Hubbard.

15 DR. HUBBARD: According to Sutter and
16 Hale 1991, Journal of Economic, volume 84 1905 to
17 1912 the mate survivors of females ranged from 22
18 to 46 percent of the adults that were produced.
19 In other words, more males were produced from the
20 insecticide than females.

21 DR. WEISS: With that, then, I think it
22 would work out fairly well?

1 Now the other component problem or the
2 other side of the question is should growers be
3 able to use aerial application in the refuge that
4 they are using to attract and hold females to lay
5 eggs for the succeeding males the next year.

6 And again, if they were using that based
7 on a threshold, occasionally growers will have to
8 treat for silk clipping by adult rootworms but if
9 they are going after another insect perhaps corn
10 bores an arthropod, spider mites, then I think
11 they should have to spray the refuge and the Bt
12 treated corn.

13 DR. WHALON: Just to comment on that. I
14 wonder is -- this is a question to the panel
15 really. As I think about this, this subsequent
16 spray targeting alternate pests, if you are going
17 to -- the question of recruiting females for eggs
18 into the subsequent refuge is an important one --
19 but if you are going to take advantage of
20 redundant mortality, it might, this is an
21 alternative tactic, it might be wise to actually
22 treat the MON corn and not the refugee I can't.

1 DR. PORTIER: Do we have disagreement or
2 uncertainty? Dr. Andow.

3 DR. ANDOW: I'm not sure. I think there
4 is general agreement about the use of the soil
5 insecticides. But I wanted to -- currently, the
6 soil insecticides are -- have the survival rates
7 that as Dr. Hubbard suggested.

8 But if a new one comes along that has a
9 high efficacy, I think this issue would need to be
10 revisited again.

11 And that's the only supplement that I
12 wanted to make to the soil insecticide side of the
13 question.

14 DR. PORTIER: Okay. Any other points on
15 this question, Dr. Gould.

16 DR. GOULD: Are you asking for points on
17 the question, not F? Before we leave this entire
18 question I would like to make a comment.

19 DR. PORTIER: I want to finish up F
20 before we -- are we finished with F? I'm not
21 going to try to summarize what Dr. Weiss said. It
22 is beyond my ability here. Dr. Hubbard.

1 DR. HUBBARD: I think he is just stating
2 some of the way corn rootworm
3 entomologists do their research by recruiting
4 adults to lay eggs in certain areas. He is
5 implying that the refuge should be done that way.

6 Unfortunately, I think it is more
7 complicated than would be acceptable to the
8 growers of the or the EPA. I think it should be
9 just a straight refuge for this years's crop and
10 not worry about next years's crop yet.

11 But that there is going to be some egg
12 laying everywhere just not as much as there would
13 be if you delayed your planting.

14 DR. WHALON: Consider this idea of
15 redundant killing. If you are producing resistant
16 females in the MON 863 Bt plants, and you are
17 going to, because of an economic injury being
18 breached by another pest, would it not be an
19 advantage to get redundant killing on the putative
20 resistant individuals generated by the transgenic
21 plant and not the refuge.

22 DR. PORTIER: Can this be handled in our

1 report as additional comments above and beyond the
2 general comment about ground based insecticides
3 and some other potential things to consider in
4 looking at how to manage the refuge?

5 Do we have general agreement on that?
6 We don't have to all agree on these individual
7 points.

8 No disagreement with that? Any
9 additional comments on part F?

10 DR. PORTIER: Before we leave the
11 question, Dr. Gould had a general comment he
12 wanted to make.

13 DR. GOULD: I have been thinking about
14 this science based policy. And I just want to
15 make a comment to EPA. You are looking for our
16 advice our advice goes beyond helping the farmers
17 in a small way and in the United States.

18 The policy decisions that you make, I
19 have had a lot of experience with this, go beyond
20 the borders of the United States. Some countries
21 too small and too poor to make their own
22 scientific risk assessments just accept everything

1 you say and 20 percent whatever it is, is what
2 they are going to use and they are going to assume
3 that you do the Science.

4 Other country that have enough money or
5 power to look into this, look to the United States
6 and say we're just trying to export this
7 technology. Our science is shoddy and they don't
8 want to accept our grain.

9 So I just would reiterate that you
10 really need to have science based policy. And I
11 think the EPA has done a great job compared to a
12 lot of other agencies in doing this. I hope you
13 will continue in your path.

14 DR. PORTIER: I'm going to show pity on
15 the audience and the panel. I don't believe we
16 can finish these questions before we're going
17 start passing out from hypoglycemia.

18 I believe we're going to have to take a
19 break at this point and go to lunch. Before we do
20 that, I regret that I will not be back after
21 lunch. I have a 3:30 flight that I must catch.

22 So I make my closing comments now and

1 say that as always, this has been an exhilarating
2 meeting for me. I have learned a lot of new
3 things, and had some very useful discussion that
4 I'll take back into my own work.

5 I think we have answered some serious
6 questions for EPA. I want to thank their staff
7 for being so patient with me and the panel for
8 being so patient with my ignorance on this issue.

9 I'm going to go beyond my earlier point
10 about the openness of this process, because I
11 think there is another point to be made here, and
12 that is I have seen tremendous cooperation between
13 the academic community, the corporate community,
14 and the EPA on this issue.

15 To bring the important issues to the
16 forefront for discussion.

17 Again, that's a very refreshing exciting
18 way in which to manage this type of issue for both
19 the agency and the government as a whole.

20 I'm quite pleased to have seen that.
21 After lunch, I believe Dr. Roberts will take over
22 as Chairing the session. Again, I want to thank

1 you.

2 Dr. Lewis, do you have any comments?

3 DR. LEWIS: Two brief remarks. First of
4 all, for the panel members, during lunch I would
5 appreciate if you could bring lap tops to the
6 break room.

7 Your laps will be configured for the
8 upcoming work group, report writing process, so we
9 have some we have contractors in the work room
10 that would help to configure your lap tops, leave
11 them there, they will work on them while you are
12 having lunch, they will be secure. Just meet us
13 there in the next few minutes.

14 To Dr. Porter, again, thank you also for
15 serving as our Chair for the past three days. We
16 really appreciate your insight, and working with
17 the panel in terms of directing them to respond to
18 the questions and for the work in that area.

19 DR. PORTIER: Thank you, very much. Dr.
20 Andersen.

21 DR. ANDERSEN: We want to give our
22 thanks too. You have done an excellent job of

1 moving us along. We really appreciate it, for
2 your insight that you have given it is always nice
3 to have lots of perspectives and you have really
4 contributed.

5 You will be missed this afternoon, but
6 we also do recognize that Dr. Roberts will
7 undoubtedly do a good job for us with this.

8 DR. PORTIER: I'm sure the entire panel
9 will breathe a sigh of relief. Dr. Roberts knows
10 a little bit more of this than I do.

11 Thank you all very much. We'll see you
12 after lunch, in one hour. The time now is 1
13 o'clock, so I guess 2 o'clock.

14 (Thereupon, a luncheon recess was taken.)

15 MS. ROSE: There is actually only one
16 question for monitoring and we ask: please
17 comment on the agencies conclusions regarding
18 refinements to Monsanto's Resistance Monitoring
19 Program.

20 In your response, please consider the
21 following factors. How should corn rootworm
22 resistance be monitored, the value of developing

1 resistant colonies of corn rootworm to determine
2 the mechanism and genetics of resistance, insect
3 rearing for corn rootworm species, and whether one
4 colony in more than one laboratory should be
5 established.

6 DR. ROBERTS: Dr. Hubbard, could you
7 lead off our discussion on this subject?

8 DR. HUBBARD: Monitoring a baseline
9 susceptibility over time is important.
10 Unfortunately, this is not an easy task
11 complicating factors with such basis elements as
12 even obtaining a lethal dose to a product that is
13 not that highly toxic make this matter not an easy
14 thing to do.

15 Other complicating factors including
16 rearing the insects that require diapause. Some
17 populations of the northern corn rootworm may
18 require two diapause periods.

19 Artificial diets are poor and difficult
20 to control, mold from soil insect -- and there are
21 many other complicating factors I'm not an expert
22 in doing these sort of tests.

1 It is possible, I understand, to measure
2 susceptibility to neonate corn root larvae to Cry
3 3Bb1 using the dose a response curve. This is
4 likely the only method that will be available to
5 document whether susceptibility is changing over
6 time.

7 Other possible triggers for suspected
8 resistance, I'm not sure whether these are good
9 triggers or not, but these are ones that have been
10 mentioned in this panel, could be tunneling of a
11 certain damage for instance, Dr. Andow mentioned
12 tunneling as a possible trigger, Mike Weiss
13 mentioned percentage of males that come off of
14 these as another possible trigger. I'm not sure
15 whether any of these would work, but after some
16 sort of a trigger, a dose response curve is likely
17 going to be needed to be done.

18 One other alternative to a dose response
19 curve might be possible if researchers had access
20 to other events that had different concentrations
21 of Cry 3Bb1. For instance, MON 862 likely
22 produced the endotoxin in higher levels. MON 853

1 produced the toxin in lower levels, I believe. MON
2 854, I'm not sure what level that produced, but it
3 did protect the plant in -- at levels similar to
4 soil insecticides in my study.

5 An interesting note if we turn to page
6 108 of the Monsanto's IRM plant, we see some data
7 that I generated documenting the production of
8 more than three times as many adults from MON 854
9 as the infested check without insecticides. MON
10 854, produced an average of 46 beetles per plot or
11 per emergence cage, whereas the infested check was
12 something of 13 or so.

13 So there are events with differing
14 levels of Cry 3Bb1, and I'm not sure if
15 researchers would be able to get access to those,
16 but I understand that that might be another way,
17 other than a dose response curve. And I defer to
18 the panel because I'm not really an expert on
19 these sorts of measurements.

20 Sensitivity is another key issue. No
21 method is likely to be sensitive enough to be
22 useful in finding resistance early on.

1 This is partly because it is low dose event and
2 partly because damage by this insect is
3 underground, partly because environmental factors
4 play such a huge role in the damage done by these
5 particular insects, and partly because above
6 ground symptoms of damage such as lodging are
7 often caused by events other than corn rootworm
8 feeding.

9 If you have you heavy winds and lots of
10 moisture you are going to have lost corn whether
11 you had rootworm or not.

12 Developing a resistant colony does have value, and
13 is worthwhile to pursue, but has not been pursued
14 to date in the public sector. Wade French, from
15 Brooking, South Dakota, plans on doing so.

16 The nondiapausing colony of the western
17 corn rootworm that is available has been a lab rat
18 for more than 200 generations. These insects do
19 cause field damage but they are poor fliers and
20 likely represent only a small fraction of the
21 genes in the wild western corn rootworm
22 populations.

1 However, developing a resistant colony
2 within a reasonable time frame, is likely only to
3 be successful with a nondiapausing strain. And so
4 it would be good to intergress wild genes from
5 differing populations into a nondiapausing colony
6 before attempting to go develop a resistant -- a
7 colony resistant to Cry 3Bb1.

8 Currently, I'm aware of one colony of the
9 northern corn rootworm. That is in Brooking,
10 South Dakota, I'm not sure if Wade French has a
11 colony or not. This is a diapausing colony. I'm
12 not aware of colonies of Mexican corn rootworm.

13 And so, developing resistant colonies
14 from these species is likely to be impossible.

15 That's all I have.

16 DR. ROBERTS: Thank you, and just for
17 the sake of clarity, when you mean developing a
18 dust response curve, you mean direct treatment
19 under laboratory conditions that sort of thing.

20 DR. HUBBARD: Yes.

21 DR. PORTIER: Dr. Andow, what are your
22 thoughts about approaches to monitoring.

1 DR. ANDOW: On the several questions
2 here.

3 I think one approach to monitoring first
4 of all, it is clear with this particular species
5 and these particular species this particular event
6 that monitoring is a challenge.

7 So handling individuals is probably not
8 going to be a very effective way of monitoring.
9 However, monitoring doesn't necessarily have to
10 get tied to -- monitoring just has to be -- to
11 give you sufficient information to take some
12 actions.

13 And so, that sort of rather than
14 focusing on whether it gets you just a piece of
15 information you feel you might need in order to
16 determine if you can get information that is
17 useful to take actions, I think that's important
18 to focus on that side.

19 And for this particular species, it
20 would be very useful, I think, to think of a
21 tiered the approach to monitoring. Where you
22 would be doing something that has that is

1 relatively on the spectrum of things, it is
2 relatively easier to do, but has a slightly I
3 higher error rate in terms of giving you the
4 information.

5 So that if you get a positive response,
6 then you would follow it up with something else,
7 rather than thinking that that was all you do.

8 And so some of these suggestion that we
9 were developing earlier, this idea of doing root
10 ratings -- looking not at root ratings but looking
11 for root tunneling could be in that category,
12 because we already know that there are certain
13 things that could complicate it.

14 At the same time, we could be taking
15 information and whether or not those complicating
16 factors are involved.

17 So for example if you are just looking
18 for root tip damage, you might instead of doing
19 the normal root ratings at the time of anthesis or
20 later, you might go in during the late oral stage
21 and look at roots at that point, after the first
22 instars have done their damage rather than waiting

1 for the second and third instar damage to
2 accumulate. There are ways to sort of approach
3 this in another way.

4 Now, another possible approach, and this
5 would be a more intensive approach, would be to
6 try to develop a survival test. And since we know
7 that corn rootworm survival is quite variable from
8 place to place and soil to soil, maybe it would be
9 useful to standardize the soils and standardize
10 the location.

11 Like green house studies with a
12 particular type standard soil and see just how
13 variable is corn rootworm survival, susceptible
14 population of survival, under fairly constant
15 controlled conditions. If it turns out that it
16 is not that variable, then it may be possible to
17 collect adults from the field, get them to lay
18 eggs out on charcoal and test those eggs on a
19 standardized plant assay in the green house.

20 So all of these are research areas. But
21 I think that one needs to develop something that
22 is a lot easier than dose response assays in the

1 field or even discriminating dose assays.

2 Those assays at the individual level you
3 can't get the numbers up high enough to expect
4 that you are going to be able to monitor over any
5 extensive areas or ranges.

6 Even the ones I'm talking about are
7 still not going to be as extensive as one might
8 want. However, I think that there are -- and I
9 would also guess that if the NCR 46 community were
10 to sort of decide, instead of thinking just about
11 toxicity, what kind of evidence would give us some
12 indication that resistance was developing and sort
13 of go in those sorts of things rather than try to
14 sort of, say at the beginning what is the
15 definitive piece that would prove that we have
16 resistance.

17 You can always go in afterwards and do
18 that, but to sort of look at a tiered approach to
19 try to develop some earlier tools, I think that
20 would be very helpful.

21 So that's on the monitoring question.

22 Should the value of developing resisting

1 colonies? I think that would be very valuable.
2 Work that has been done on or *Helicoverpa armigera*
3 of the Bt cotton is a low dose type event, has
4 proven that mass selection in the laboratory has
5 developed -- has given some very useful results.

6 And so that should be something that is
7 pursued it may be that that is going to be the
8 fastest way we end up with a resistant individual
9 that we can start working with. I would be
10 strongly encouraging that.

11 The insect rearing, this is a much
12 longer term project. It certainly is a useful
13 thing to be thinking about because of the problems
14 in rearing northerns compared to westerns.

15 In terms of how high a priority, I would
16 probably be pushing more towards thinking okay, if
17 northerns were getting resistant, what types of
18 things would I expect to see that would give a
19 little signal of that. And sort of, more push it
20 into what are the characteristics we can monitor
21 rather than necessarily try to go all out with a
22 rearing effort in the case of the northerns.

1 But in the -- where you have it in
2 Brookings, you may as well try to do some
3 selections on it if you can.

4 Then the last point about more than one
5 colony, I think that there is some limitations as
6 to what we can really expect is feasible with
7 northerns. But certainly with westerns since there
8 are multiple colonies already, there is no reason
9 to say that it shouldn't happen in more than one
10 colony.

11 DR. ROBERTS: Is that it?

12 DR. ANDOW: Yes.

13 DR. PORTIER: Dr. Caprio, what are your
14 thoughts about monitoring strategies and
15 approaches.

16 DR. CAPRIO: First, I would like to
17 agree that the Monsanto plan needs considerable
18 refinement.

19 I agree pretty much with what David
20 said, that we need to find some easier method to
21 monitor, and I'll just throw it out as part of a
22 brain storm as I sat here thinking, that one of

1 the other things you might expect with some forms
2 of resistance would be an alteration of this delay
3 period. If you could look for earlier emerging
4 adults, that might be a sign that there is some
5 sort of resistance.

6 I think there is value in developing
7 resistant colonies. We also have to be careful
8 that -- that is no guaranty that that is the only
9 mechanism that is out there, or that that is what
10 will evolve in the field if there is multiple
11 mechanisms and certainly multiple attempts to
12 select for resistance might give us idea. That's
13 pretty much my comments.

14 DR. ROBERTS: Are there other panel
15 member that would like to offer thoughts on
16 monitoring strategies? Is there anything with
17 which other panel members disagree?
18 Is the silence because everyone agrees with what
19 was said, or maybe they just don't have an
20 opinion. Is there any disagreement with the
21 comments that were made, or voiced during the
22 discussion? DR. ROBERTS: Dr.

1 Neal.

2 DR. NEAL: I would like to add that
3 monitoring for resistance with this pest is going
4 to be extremely difficult and is going to be very
5 difficult to determine that resistance is
6 developing before it actually shows itself full
7 blown.

8 DR. ROBERTS: I think Dr. Hubbard made
9 that point as well in his comments, that it is
10 going to be tough to see this early.

11 Dr. Hellmich.

12 DR. HELLMICH: Just one comment.
13 Bruce's suggestion of using other events somehow
14 to be incorporated into the monitoring, that's
15 something we actually considered early on with the
16 corn bore.

17 I understand at that time there was a
18 problem because that event would also have to be
19 registered in order for it to be used in that
20 capacity.

21 That limits that option, I think.

22 DR. ANDOW: Couldn't it be done under

1 the EUP type approach, because we wouldn't be
2 talking about huge acreages.

3 DR. HELLMICH: Sharlene, Do you remember
4 this conversation we had about five or six years
5 ago where we considered doing something like that?
6

7 DR. ANDERSEN: I might be brain dead on
8 that one, but you can depending on the acreage,
9 you can do things and certain other aspects about
10 it. You can do things less than 10 acres, as long
11 as the protein is not going into the food supply,
12 unless you have a temporary tolerance or permanent
13 tolerance. So there are some things that way.

14 Larger -- in experimental use permits,
15 it is the same aspect. You have to make sure it
16 is not going into the food or feed supplies in
17 unapproved variety. With that caveat, you could
18 do it. I guess I thought maybe Bruce was talking
19 more about greenhouse studies. And I thought that
20 was -- so it was different and I thought a very
21 interesting idea.

22 DR. HUBBARD: I was referring to

1 greenhouse studies, that's how I would conduct
2 those.

3 DR. PORTIER: Dose response things that
4 you talked?

5 DR. HUBBARD: She is referring to the
6 varying levels of Cry 3Bb expression found in
7 different events that have been tested over time.

8 And I'm aware of at least one event that
9 has higher expression and there may be other event
10 that have even much higher expression. I'm not
11 sure if that's Cry 3Bb or what.

12 DR. PORTIER: Any other comments on this
13 response to this question?

14 Ms. Rose, is the panel's response
15 reasonably clear.

16 MS. ROSE: Yes.

17 DR. PORTIER: Let's go ahead and take
18 the last question, which is on mitigation
19 remediation action -- remedial action.

20 MS. ROSE: Part A states, the panel is
21 requested to discuss an appropriate method of
22 determining suspected and confirmed resistance for

1 corn rootworm, including recommendations as to how
2 suspected resistance or unexpected damage may be
3 identified.

4 DR. ROBERTS: Dr. Hellmich, you are the
5 lead discussant on both A and B. Could you start
6 us off on A.

7 DR. HELLMICH: I have a number of things
8 here. Some of it overlaps with what some previous
9 speakers have said.

10 Certainly with suspected damage or
11 suspected resistance, the first sign for the
12 grower is going to be plant lodging.

13 I think that some of the steps that
14 should be taken, then, is first of all you have to
15 confirm whether or not the grower did indeed plant
16 MON 863 seed and you have to rule out that there
17 wasn't other insects responsible for the damage.
18 In the same vein, weather conditions that may
19 cause lodging, and to rule all those out.

20 Then you have to confirm that indeed the
21 plants are expressed in the protein. One other
22 thing I want to add some of the things that Bruce

1 suggested, is that I could imagine a situation if
2 there was excessive weediness and then the
3 herbicides were an applied you could have had
4 first instars maybe get started on some of the fox
5 tails or whatever that is out there, and then go
6 over to the -- move over to the plants.

7 In some cases you may get unexpected levels of
8 damage. So that would be something that they
9 would want to at least consider as a possibility.

10 Of course, after all those things are
11 ruled out, there is protein expression, then you
12 have to take it to the next step. How do you
13 confirm resistance.

14 Unfortunately, Blair Sigfried was in the
15 audience, but he has left now I talked to him a
16 little bit about this. The first step right now
17 as I would see it would be to conduct a standard
18 diet bioassay, try to do a diagnostic dose.

19 But Blair, who is the authority on this,
20 admits it is going to be very, very difficult to
21 come up with a test for this. Because the events
22 aren't very effective, so trying to see if they're

1 becoming a little bit more -- a little less
2 effective with this population is going to be very
3 difficult normally, at least with the high dose,
4 we would try to do a standard diet bioassay. We
5 look at the 95 percent confidence intervals.
6 If it was outside of that, then you would indeed
7 think that may have resistance.

8 And then we come back to maybe some of
9 the ideas similar to what Dave and Bruce were
10 saying about using plants maybe in greenhouses to
11 see if you get more node feeding, especially for
12 the early instars, that may be some way to confirm
13 resistance.

14 When you have this unexpected lodging
15 more than likely when they go out there to look at
16 this, you're going to be looking at adults, the
17 third instars, the earlier instars won't be there
18 anymore.

19 I was thinking that at the time if there
20 were third instars, you could possibly test those.
21 But to do that you would have to have LC 50's
22 determined for the later instars. That hasn't

1 been done, but more than likely, you are going to
2 be encountering an adults emerging from those.
3 You have to test -- test their children.

4 When it comes to unexpected damage, as I
5 was saying before, I think you have to look at
6 this sort of two different levels, and one is the
7 practical level, the grower level, and as I
8 mentioned the excessive lodging would be what they
9 would be looking for.

10 Certainly, they would be calling
11 Monsanto in these cases to see what was -- what
12 the problem was.

13 From a lab perspective, again, we --
14 again, we keep coming back to this idea of first
15 instar tunneling whether or not that can be
16 detected, and if that could be sort of a sign that
17 there is a problem.

18 I think that should be pursued, but with
19 understanding that it may be very difficult,
20 because the second and third larval damage more or
21 less covers that up. And it may be difficult to
22 interpret looking at stage in this or looking at

1 the sampling at a time when -- before you get
2 second instars as Dave was mentioning before, may
3 be a pretty good idea.

4 Mike mention the idea looking at the sex
5 ratios. I think that a lot of discussion we had -
6 - we suggest that this would not be very accurate.
7 It would meet so many environmental conditions,
8 planting times, whatnot. But that would probably
9 not be a very reliable method to use.

10 Then there is always the problem with,
11 and this is the problem we always have, with
12 monitoring and unexpected damage is that
13 presumably, before you get to the field failure,
14 there could be some signs of resistance developing
15 that can't be detected.

16 Unfortunately, unless they use some of
17 the monitoring, I think they are going to have to
18 fall back to the monitoring, and see if they can't
19 fine tune those, so that they can detect it before
20 you get to the field level.

21 Unfortunately, I feel that in most
22 cases, it is going to be the growers coming across

1 the unexpected lodging. It is probably going to
2 be the first thing that is going to be visible and
3 that the earlier detections with the monitoring,
4 in many cases is not going to be extensive enough,
5 or practical enough, or sensitive enough to detect
6 resistance before it is problematic. That's all I
7 have to say for A.

8 DR. ROBERTS: Thank you, let's go ahead
9 complete our comments on A and then move to B.

10 Dr. Whalon: I think that Monsanto's
11 interim IRM resistance detection as it is
12 described is inadequate for full registration.

13 I think -- I think that because the
14 appropriate methods for determining suspected
15 resistance aren't there, and the ability to
16 confirm resistance is very difficult, the current
17 plan would only detect field failure or nearly so.
18 And in that sense, would be too late.

19 The IRM plan would be defeated in a
20 sense. It depends on how wide spread it is. So
21 this is a situation I don't see as being
22 Monsanto's situation. I think it is a situation

1 associated with where we are at on the science,
2 and where we are at on understanding the biology
3 and the toxicology of this event in corn rootworm.

4 I think in response to that, there needs
5 to be a concerted, mounted effort on the part of
6 the public sector corn growers and the registrant
7 to get this information. So that this product
8 will live for a while in the field.

9 I think it's necessary to develop those
10 protocols and identify the means whereby such
11 detections could be made and all the comments in
12 the previous section on monitoring apply here.

13 I recognize that this is a considerable
14 technical challenge that presents and that there
15 are a lot of significant, very significant aspects
16 to overcome. Particularly, with conventional bio
17 assays.

18 The comments regarding the development
19 of a resistance resistant strain, I agree with, I
20 think that you could get a resistant strain with
21 one approach or another. May not be the one that
22 occurs in the field. This is always a risky

1 approach but something is better than nothing, and
2 will inform at least for that mechanism.

3 I broke out the second part in terms of
4 recommendations on how to -- suspected resistance
5 or unexpected damage may be identified. I think
6 that the current rating systems both one to six
7 and the zero to three are probably not systems
8 that will effectively identify early stages of
9 resistance.

10 And that presents --

11 DR. ROBERTS: Maybe we ought to go ahead
12 and finish one and come back around to do two.
13 This is a response to B?

14 DR. WHALON: It is the latter part of A
15 where it says including recommendations as to how
16 to -- suspected resistance or unexpected damage
17 may be identified.

18 DR. ROBERTS: I'm sorry, continue
19 please.

20 DR. WHALON: One of the things that
21 would be really good, ideally, is that if you
22 could identify these events before adult emergence

1 such that you could initiate a medication
2 strategy.

3 So, that kind of thinking that way might
4 dictate how a detection system were developed if
5 it could be developed.

6 In essence, what the registrant has
7 proposed is to move ahead operationally with a
8 system that practically probably wouldn't be able
9 to detect.

10 I think there is also some adoption
11 problems as we talked to growers the other day.
12 Some of these strategies are done by other
13 competing hybrid seed companies. How interested
14 would they be in following through on monitoring
15 for Monsanto or this events.

16 I think there is some practical issues
17 too, to deal with.

18 DR. ROBERTS: Dr. Hubbard, do you have
19 anything to add?

20 DR. HUBBARD: I agree with most of the
21 comments.

22 I agree with Dr. Hellmich, in that it is

1 likely to be the growers that see something and
2 unfortunately when they see something, it is
3 probably already crop failure.

4 But if they do see some unexpected
5 lodging with low levels of damage from MON 863 it
6 is possible they could see this relatively early
7 on under certain weather conditions, if there is
8 moisture and high winds, you will get more lodging
9 and you will get more lodging in feeding corn --
10 in corn that has rootworm feeding than that which
11 has not.

12 A Monsanto representative could then
13 watch those roots, evaluate for feeding damage,
14 and I think under the concurrent -- they could
15 take a look at that whole field. I think that
16 they actually might be able to, if there is a lot
17 of damage, in more than a node, or half a node of
18 damage right there and you have some nonBt plants
19 of the area and they have a similar amount of
20 damage, then I would say that's -- you could use
21 root ratings to detect this fairly early on.

22 So I disagree slightly with Dr. Whalon on

1 that point. If you have the refuge available that
2 is damaged similarly, because usually even
3 insecticide treated refuge the MON 863 has less
4 damage than the insecticide. One other
5 point is you probably should distinguish that
6 these larvae actually, western corn rootworm or
7 northern corn rootworm, versus southern corn
8 rootworm.

9 Because it may be that southern corn rootworm is
10 not on the label and so the actual larvae causing
11 the damage should be collected.

12 That is all I have.

13 DR. ROBERTS: Other panel members, would
14 any of you like to comment on A?

15 Dr. Caprio.

16 DR. CAPRIO: Just one thought as you
17 were talking, if you want to compare with a non Bt
18 strain probably we ought to think about that same
19 hybrid type situation that that might play into
20 that.

21 In a broader issue, not really to harp
22 on this, but we're talking about an awful lot of

1 problems with both monitoring and resistance
2 detection and so on.

3 And one of the reasons people were
4 willing to accept smaller refuges was that we
5 could with corn bore, people could do a relatively
6 good job monitoring. And one can see a lot of
7 these problems that -- maybe that ought to be a
8 consideration until being more conservative in
9 terms of how much refuge we might recommend.

10 DR. ROBERTS: Dr. Andow.

11 DR. ANDOW: I'm -- I support that, but
12 the points that I would like to raise is in terms
13 of the proposed definition for confirmed
14 resistance, which I don't know if this has been
15 modified very much at this point, but on the
16 Monsanto interim plan on page 16 I'm going to be
17 referring to that section, and so basically, there
18 are two different branches.

19 One, is you either use a discriminating
20 dose assay or this series of test that they list.
21 As we heard from Rick earlier, the discriminating
22 dose assay may take a long time to development, so

1 what we're really looking at is the other list --
2 is the functional definition of resistance for a
3 long time.

4 And that functional definition of
5 resistance has two components of which both have
6 to be met.

7 And I'm go to go review this a little
8 bit because I'm going to propose how they might be
9 different. One is that the resistant population
10 or the putative resistant population that you have
11 has to have an LC 50 that exceeds the upper 95
12 percent confidence interval of the mean historical
13 LC 50 for the susceptible population.

14 And then the second point is that in
15 addition, over half of the plants that are exposed
16 to this population in a laboratory condition have
17 to have one or more root nodes destroyed. That's
18 sorts of the present definition.

19 Now it seems to me that the first piece
20 actually -- it actually seems to me that it's not
21 clear why you need both.

22 Because if you have a populations that

1 is destroying one or more root nodes that is
2 pretty resistant and you really need to have the
3 other test to confirm that, so that's one point
4 that I would be raising.

5 The second point is the LC 50 test it
6 sort of depends on the slope of those LC 50 lines
7 as to whether or not you may be missing actual
8 resistance or not. Because if the 95 percent
9 confidence interval is going to be large which
10 given the difficulty in doing these tests it is go
11 to go be large, then to have something way above
12 the LC 50, if the slopes are relatively steep, you
13 may end up with concentrations, populations that
14 wouldn't pass the LC 50 test, but would still be
15 able to damage the corn.

16 It seems like that first condition may
17 be too strict.

18 It seems to me that something based on
19 the damage to the root nodes maybe sufficient or
20 even if one were to develop an survival assay, it
21 is pretty clear that survival of courtrooms on a
22 susceptible variety without insecticides is higher

1 than the survival of corn rootworms on the Bt
2 variety.

3 You get variance associated with each of
4 these. But then if the test population tests
5 within the confidence interval of the susceptible
6 population on the susceptible variety then one
7 should consider that to be resistant also. It
8 seems that would be an alternative way of
9 confirming resistance.

10 In other words it is not that it is
11 different from the Bt control but it is not
12 statistically different from the control line on
13 the control plan.

14 And if it's not statistically different
15 from the control line on the control plant, I
16 don't see how you can say that that is not
17 resistant. It seems there are other approaches
18 toward defining this that are sort of or type
19 definitions rather than and type definitions.

20 That is one point that I wanted to make.

21 DR. ROBERTS: I was going to ask if
22 panel members had questions for you on that point,

1 or wanted to comment on that.

2 DR. WHALON: Just a general observation.
3 I think in the LC 50 concentration test because of
4 the noise of the study and the low efficacy of
5 this compound on the target insect, that the
6 likelihood of being able to detect three or four
7 fold level that could be related to resistance is
8 fairly low.

9 DR. ROBERTS: Anybody else?

10 DR. HUBBARD: I agree with both
11 comments.

12 DR. ROBERTS: Dr. Andow did you have
13 another comment you wanted to make as well?

14 DR. ANDOW: Sort of a general comment in
15 terms of how to frame how to look for things here.
16 It seems like I said under the monitoring dealing
17 with these -- for this event dealing with the
18 beetles individually, is just not going to cut it.

19 So I think we need to be thinking about
20 all of this as population issues. Sampling
21 populations, we're testing populations so all of
22 the frameworks could be built at the population

1 level.

2 And then moving into the question of --
3 there was a question raised in the corn bore issue
4 as to how to sample and where to sample. That
5 wasn't really brought up here. But in terms of
6 how to do a suspected and confirmed resistance,
7 I'm going to bring it up in that context.

8 In previous discussions, I have proposed
9 that there is sort of two extreme ways that
10 resistance may arise. The frequency may creep up
11 gradually over a large region, or it may occur at
12 spikes in a particular area, and how you target
13 monitoring in those different approaches would be
14 quite different.

15 In this case, it seems to me that the
16 local source -- you know we've been saying
17 resistance should arise locally,
18 that the spiking of resistance is probably going
19 to be the thing we have to be looking at more
20 closely.

21 Now when you have that kind of a
22 situation, there is no way you are going to be

1 able to monitor everywhere for resistance because
2 it is too big of an area, and there is too many
3 people. So you do have to rely to some extent on
4 the growers there.

5 And so I don't disagree with Rick's
6 assessment that the idea that you look for lodging
7 is a very come important
8 component for the resistance. But the other way
9 to do it, another supplement to that would be to
10 identify those regions with high adoption. That's
11 sort of at the county level rather than again, the
12 local level and maybe just take the top 10 or 20
13 of them and suggest that you try too do a little
14 bit more intensive monitoring associated with
15 those.

16 Because -- in this case because of the
17 nature of the event, it is likely that you will
18 see the responses more associated with those high
19 concentration areas.

20 So that would be another thing to look
21 at. And then it might be possible to get a couple
22 years head start on field failures if the

1 monitoring program is developed well.

2 DR. ROBERTS: Thank you, Dr. Andow. Are
3 there other comments in response to this question.
4 Yes, Dr. Neal.

5 DR. NEAL: I would like to point out
6 that a lot of this discussion of MON 863 is
7 specific to MON 863 and would not necessarily
8 apply to a high dose plant transgenic directed at
9 corn rootworm.

10 If it were a high dose, then that would
11 make the monitoring for resistance much easier,
12 because then you could look at numbers of beetles
13 emerging from fields.

14 The other point that I would like to
15 make with regards to monitoring is that polygenic
16 resistance should be expected to appear in that
17 some of the previous suggestions we took up
18 another question in other questions directed
19 toward measurements of growth, development, and
20 fecundity of naive corn rootworms and corn
21 rootworms selected by being reared on the MON 863
22 that doing those kinds of comparisons should give

1 a good indication of the polygenic resistant
2 component.

3 DR. ROBERTS: Other comments in
4 response to this question. Is there any
5 disagreement among panel members on any of the
6 comments that have been made so far, or are we
7 pretty much in agreement?

8 Silence is assent?

9 DR. ROBERTS: Ms. Rose, was that
10 response reasonably clear do you have a follow up?

11 MS. ROSE: I was hoping the panel could
12 elaborate a little bit on what you mean by
13 unexpected lodging. I'm not sure if you would
14 expect some level of lodging since we're not
15 dealing with a high dose.

16 How would a farmer or grower be able to
17 go out and say, I wouldn't expect this level of
18 lodging.

19 DR. ROBERTS: Dr. Hubbard, do you want
20 to tackle that one?

21 DR. HUBBARD: I think they should expect
22 no lodging unless the whole region has lodging due

1 to a high moisture, high wind event.

2 DR. ROBERTS: Anybody else? Dr.
3 Hellmich.

4 DR. HELLMICH: That kind of means that
5 low levels, low amount of lodging that is not due
6 to weather, which a grower -- of course it could
7 be confused with corn bore lodging too. Could it?

8 No? Okay.

9 DR. ROBERTS: Let the record indicate
10 Dr. Hubbard made a gesture that indicated he
11 wasn't sure whether that was true.

12 DR. HUBBARD: I think that you can
13 discriminate. I think entomologists can
14 discriminate between rootworm and European corn
15 bore lodging. Farmers probably should be able to.

16 DR. ANDOW: Is it possible that
17 wireworms would cause lodging.

18 DR. PORTIER: Question from Dr. Andow,
19 or Dr. Hubbard I guess.

20 DR. ANDOW: In terms of what to expect
21 and what not to expect?

22 DR. ROBERTS: Do you want to respond,

1 Dr. Hubbard?

2 DR. HUBBARD: No, I'll defer to Dr.
3 Weiss.

4 DR. ROBERTS: Dr. Weiss, respond.

5 DR. WEISS: Thank you Dr. Hubbard. I
6 would say that wireworms usually do not cause
7 lodging. Injury is early in the season, you will
8 get stunting of plants and mortality, but not
9 lodging.

10 DR. ROBERTS: Have we clarified that for
11 you?

12 MS. ROSE: Yes.

13 DR. ROBERTS: Anything else on this one
14 before we get to the last question? I think we're
15 there. Last question.

16 MS. ROSE: Please discuss whether root
17 ratings are an appropriate indicator of suspected
18 resistance. If so, how could a typical farmer use
19 root ratings to identify suspected resistance.

20 DR. ROBERTS: Dr. Hellmich.

21 DR. HELLMICH: Maybe.

22 DR. ROBERTS: Okay, anybody else?

1 DR. HELLMICH: I think root ratings for
2 most your growers won't be very practical. I
3 think that again, this gets into the discussion we
4 were having before, about whether or not a trained
5 scientist could tell whether or not there was
6 unexpected damage with the nodes being eaten.

7 And I think that there may be some sort
8 of education of growers so that the more dedicated
9 growers who are really interested in this, could
10 indeed find it. But I would think that would be
11 the rare grower. Most of them won't be out there
12 digging roots trying to find out what is going on.

13 But if I do think it is important that
14 the crop consultants and those people who normally
15 would be digging roots, that they are trained to
16 assess if there is any kinds of unexpected root
17 pruning that --

DR. ROBERTS: Dr.
18 Whalebone, what is your position on root ratings?

19 DR. WHALEBONE: The root ratings I think
20 are an inappropriate indicator of suspected
21 resistance.

22 However, I believe that root ratings may

1 be able to be adapted or refined in such a way
2 that they could be. I think, in terms of adoption
3 I an agree with the previous comments. It is
4 unlikely that growers are going to do it however
5 consultants may be able to.

6 These people that are out looking at
7 cornfields all the time, like a couple of the
8 scientists on this panel, may be able to use that.
9 The real challenge really is to get an uniform
10 modified system into the hands of the growers in
11 an appropriate way or in the hands of people who
12 are looking at corn in an appropriate way to be
13 able to detect such a low level effect.

14 DR. ROBERTS: Dr. Hubbard.

15 DR. HUBBARD: I agree with the comments
16 of the previous two speakers, that he typical
17 grower is not going to use root ratings.

18 Lodging is more appropriate. I think
19 root ratings are one indicator for resistance that
20 can be used by those educated to do so, such as
21 crop consultants.

22 DR. ROBERTS: Other panel members? Dr.

1 Hellmich, did you want to make a follow up point.

2 DR. HELLMICH: I would like to put a
3 plug in for the Iowa State, no injury scale as
4 probably being a little bit better to detecting
5 differences, than the others ones. I
6 think that there may, like some of the scales we
7 used to use for corn bore leave ratings, there may
8 be modifications of that that could make even a
9 little bit better. I don't know you would have to
10 talk with John Tollefson and see if there would be
11 something.

12 I personally believe that the zero to
13 three scale is -- would be more logical and would
14 be a better root rating scale than the one to six
15 scale.

16 DR. ROBERTS: Are there other opinions?
17 Dr. Hubbard.

18 DR. HUBBARD: I strongly concur.

19 DR. ROBERTS: Anyone else on the panel have
20 an opinion on root ratings? Dr. Neal.

21 DR. NEAL: I would like to post a
22 question to the panel that we have a situation

1 where we have a low dose plant.

2 So that if highly resistant individuals
3 did develop within that field, then those
4 individuals might be a relatively small proportion
5 of that population. And would the average root
6 rating mask the heterogeneity of the population or
7 the fact that he had a mixed population.

8 DR. ROBERTS: Dr. Hubbard.

9 DR. HUBBARD: Absolutely. One insect is
10 not going to cause much damage. Dr. Andow's
11 suggestion -- no Dr. Caprio's suggestion that
12 adult emergence that is not delayed is probably
13 the best -- the best indicator that I have heard
14 from this whole panel.

15 But there aren't that many adult
16 emergence traps out in farmers's fields.

17 DR. ROBERTS: Dr. Andow.

18 DR. ANDOW: I guess it seems like this
19 is oriented at local field failure in which case
20 then hopefully there would be mitigation that
21 could be locally applied.

22 But in terms of -- because this is

1 pretty severe damage that we would be talking
2 about, and the only way a farmer is going to be
3 involved is if there is lodging through an
4 extensive area of their farm or their field and if
5 they notice that, then they are probably going to
6 call and get some people in, and to investigate
7 why it is lodged in which case then there will be
8 several people available to pull up roots.

9 And if, in fact, this new Iowa root
10 rating scale it is a two or a three, it is kinds
11 of a no brainer; there is so much damage to that
12 root that you know it is root worms.

13 DR. ROBERTS: Any other comments on root
14 ratings?

15 Okay. Let me then give the panel the
16 opportunity to make some comments if there have
17 been some issues or matters related to insect
18 resistance management, some points that you think
19 need to be made but weren't necessarily covered in
20 the questions that were posed to the panel yet are
21 nonetheless are important and valuable for the
22 panel to convey to the agency, this is the

1 opportunity to do that.

2 We have gone through all the questions
3 posed to the panel by the agency. I wanted to
4 give the panel members the opportunity to make
5 some follow up comments. Dr. Hellmich.

6 DR. HELLMICH: I think we have all
7 learned a lot from this panel. I think one of the
8 main points is that we all came in here sort of
9 thinking in this high dose refuge paradigm.

10 We're finding out that what we have here
11 is much different, that sometimes the questions
12 are much different there has been a shift in
13 paradigm and that we really are in sort of unknown
14 territory. That's one comment.

15 Another comment is, I think it is
16 unfortunate that some experts weren't able to be
17 here to -- because it would have provided a lot of
18 valuable input.

19 The other comment is that obviously,
20 there is a lot of science that -- there are a lot
21 of gaps in the information. In that and that we
22 should have a mechanism for figuring out how to

1 fill those gaps.

2 We talked a little bit at lunch. There
3 is a history of NC 205 working with industry,
4 working with the EPA and then the 46 has also has
5 done that.

6 I would like to think that -- I'm going
7 to challenge the panel to identify some of the
8 research, some of the test that need to be done so
9 that we could in some capacity perhaps, Bruce,
10 since he is a member of 46 go back to 46 and say
11 these are the questions we need to address, so
12 that the research can be focused.

13 I know from experience that there is a
14 lot of research that gets done and not all the
15 researchers are privy to the conversations that
16 are going on, and consequently, maybe the data,
17 not all the data is collected just right.

18 Maybe some experiments are being done
19 that don't need to be done. So this dialog needs
20 to be ongoing.

21 I think Fred may be interested in
22 participating in this. I would like to thank the

1 EPA for giving us the opportunity for doing this.

2 And I -- one other thing I was thing I
3 was going to say is that I think some panel I
4 think some panel members have the idea that
5 sometimes the technology is ahead of the science,
6 and that we're having a panel now and that with a
7 little bit of forethought, that perhaps the
8 discussions that we're having right here now, they
9 should have happened three or four years ago.

10 I don't know if there is a mechanism to
11 -- for that to occur. But if there would be a way
12 to have the appropriate discussions in science
13 discussed in a more timely way so that the
14 experiments can be done in a more timely way, that
15 would be useful.

16 DR. ROBERTS: Dr. Gould did you want to
17 make a comment?

18 DR. GOULD: Yes, I would. I would like
19 to respond to some of the things that Rick brought
20 up. I also would like to thank the EPA for having
21 this open forum, again, it is not done in all
22 agencies. I think it is a healthy way of doing

1 risk work.

2 I did push for science based risk
3 assessment before commercialization, and I guess
4 one of the things that came back at lunch and also
5 from other people was, okay Gould, what do we need
6 before we go out there.

7 So to be more specific. So I did come
8 up with a list here. I can read it to you or --

9 DR. ROBERTS: Please do so, so it will
10 be in the record.

11 DR. GOULD: What is the scientific
12 information we need before commercialization,
13 really. What is the selection intensity on -- so
14 we can have a real resistance management program.
15 What is the selection intensity on corn rootworm
16 larvae for MON 863 in different regions, soils,
17 moisture, and at different densities.

18 Two, what is the selection intensity on
19 corn rootworm males and on females separately from
20 MON 863.

21 Three, what is the selection on progeny
22 through maternal effects if there is a carry over

1 that you wouldn't see in the first place.

2 Four, what is the impact of using whole
3 fields versus rows within fields as refuges and
4 what is that effect on population dynamics on the
5 percent refuge beetles mating with resistant
6 beetles from the Bt fields.

7 Five, how would use of a seed mix impact
8 selection intensity.

9 Six, are some of the surviving larvae on
10 MON 863 more genetically tolerant of the Bt toxin
11 than the general population.

12 Seven, what could we learn from a
13 quantitative genetic model.

14 And finally eight, is male female
15 movement different in different regions.

16 I'm sure that there is more in that than
17 list, but these are the things we ought to know
18 before we go out there to commercialize.

19 DR. ROBERTS: Let me follow up and ask
20 you to what extent are these general applied
21 beyond this particular MON 863, but are things
22 that you would look for, for other potential

1 products?

2 DR. GOULD: I think we would need this
3 for any product. But these tests many of them
4 have to be done with 863 for the MON 863
5 registration. And we have to ask about selection
6 intensity on the other events. But understanding
7 the movement and things like that --

8 DR. ROBERTS: The extent to which these
9 could be generalized and become, sort of,
10 principals of the application. I guess that was
11 what I was looking for.

12 DR. GOULD: For a high dose situation
13 these would not be as applicable.

14 DR. ROBERTS: We might -- I'm just
15 wondering if you want to frame this as these are
16 important for moderate dose corn rootworm
17 resistant products.

18 Dr. GOULD: Yes.

19 DR. ROBERTS: To make it clear this
20 doesn't apply only for this particular situation.

21 DR. GOULD: Yes.

22 But it applies to moderate dose.

1 DR. ROBERTS: Yes, Dr. Whalebone.

2 DR. WHALEBONE: A couple of
3 observations, one is that the benefit side of this
4 technology is strong. I'm sure the agency is
5 going to consider the benefit risks in terms of
6 resistance. It is also worth noting that
7 transgenics are in a fish bowl. This same
8 standard that we're applying to transgenic
9 products are not applied to other conventional
10 chemistries.

11 From a public policy perspective, this
12 is probably wise given what the situation is and
13 focus on GMO's in general.

14 Finally, this paradigm shift that Rick
15 was addressing earlier, and that we have talked
16 about for a couple days now, is not just
17 associated with the area of GMO's and transgenics
18 it is also part of what we're facing in some of
19 the pesticide alternatives that come to us through
20 biopesticides.

21 These paradigm shifts come and science
22 has to adapt so does the grower community. How we

1 move ahead to facilitate that adaptation is
2 challenging. So, in this instance, classical
3 approaches may not apply. I
4 particularly like some of Dave Andow's comments
5 and other comments that related to that. That we
6 need, as scientists, to adapt as well. We may
7 have to develop techniques and strategies that are
8 out of the box.

9 DR. ROBERTS: Yes, Dr. Andow.

10 DR. ANDOW: I guess, in terms of what
11 research is really important, I might prioritize
12 the list a little differently and pick out two of
13 the ones that Fred said that I would particularly
14 highlight.

15 This is the selection intensity. He had
16 a bunch of them associated with fitness
17 differentials, associated with -- and those are
18 really critical. I think the occurrence, first
19 present occurrence of resistance, if it is there
20 or not, is really critical.

21 If it is there a lot of what we're
22 talking about is just irrelevant, and so it is

1 really important.

2 I also think that if it is not there at
3 high levels, then the monitoring issues really
4 need to get tackled that we need to have
5 monitoring methods worked out, and we also need a
6 clear mitigation strategy. Right now
7 it's not so clear what the mitigation strategy is.
8 To have a full plan, there has to be an initial
9 plan to move in. There needs to be a monitoring
10 strategy and a mitigation strategy.

11 And I have to say that -- to that's what
12 is needed. That's going to be very important to
13 see that. So, I would put those as priorities and
14 deemphasize some of the other things. But that's
15 just my opinion.

16 DR. ROBERTS: Thank you, any other
17 comments. Dr. Hubbard.

18 DR. HUBBARD: I would like to just relay
19 a few bullet points from the NCR 46, May 30, 2001,
20 in research needs.

21 One is to quantify the relative fitness
22 of rootworm individuals that survive on transgenic

1 corn versus nontransgenic corn.

2 Evaluate IRM options other than a refuge
3 especially if an event is not classified as high
4 dose.

5 Examine the impacts of refuge
6 configuration including seed mixtures on
7 development of resistance and likely hood of
8 farmer adoption.

9 I think those are some of the points
10 that NCR 46 brought forward. They brought forward
11 other components, but those three seem germane to
12 our discussions.

13 DR. ROBERTS: Dr. Gould.

14 DR. GOULD: Just to finish up with my
15 comments on these research initiatives.

16 It was said that maybe this would take
17 100 years to get done, and I don't think so. I
18 think that all the things on the list if you
19 prioritize them the way Dave did some of the NC
20 recommendations could be done in two years if the
21 funds were made available.

22 DR. ROBERTS: Last call for comments

1 among panel members.

2 Ms. Rose, did you have any follow-up
3 questions that you wanted to pose to the panel
4 before we close this session.

5 MS. ROSE: The only follow up question I
6 would have thought of is research we need, so very
7 good.

8 DR. ROBERTS: We have anticipated that
9 and have some suggestions for you, terrific.

10 Dr. Andow.

11 DR. ANDOW: I would like to acknowledge
12 Monaco in that they spent a lot of effort putting
13 together this interim plan and they circulated it
14 quite widely. I think without to the focus of
15 some of the EPA's questions today, a lot of these
16 issues didn't -- and didn't occur to me and didn't
17 occur to a lot of people to bring up earlier.

18 So I think that the whole process has
19 been very valuable. But I did want to acknowledge
20 that they had been circulating this to many
21 people, and getting input.

22 DR. ROBERTS: Dr. Gould.

1 DR. GOULD: I do want to ask a question
2 to EPA because I have been told as time has gone
3 on here that we haven't seen the research that has
4 been done. There is more research done than we
5 were given.

6 I'm assuming that we're up-to-date.
7 If that is not the case, it makes our process more
8 difficult.

9 DR. ANDERSEN: I think you have
10 everything we have.

11 What we are anticipating from what we
12 have heard from Monaco is that they are just
13 finishing up some reports from some of these
14 places where we have provided you with preliminary
15 data and that's what we have looked at.

16 So we will be getting that data, and
17 obviously looking at it in light of the comments
18 we have heard today and what we will see in the
19 report.

20 DR. GOULD: Finally, I would like to say
21 this is a list, but I hope it will be okay with
22 the people here to embellish these with a little

1 bit more detail in the report.

2 DR. ANDERSEN: Detail sounds good to us.

3 DR. ROBERTS: That will be fine Dr.
4 Gould.

5 Anything else, before we close the
6 session?

7 If not, I would like to thank all of the
8 panel members for their hard work in taking a look
9 at these questions and issues posed by the agency.

10

11 This is very important stuff and we
12 really appreciate the comments and valuable
13 discussion we have had during this meeting.

14 The panel will prepare a report from this
15 meeting, which is the minutes from the meeting. I
16 would like to ask the panel members to -- in order
17 to plan for the preparation of those minutes to
18 meet in the break room immediately following the
19 close of this section so we can discuss how we're
20 going to organize our write up.

21 I would also like to thank the public
22 commentators for their input into this session. It

1 is always very important for the panel to receive
2 different views and different perspectives from
3 these issues.

4 Of course, I would like to thank Dr.
5 Porter, who isn't here who did the bulk of the
6 work in Chairing this sessions and made it very
7 easy for me to step in at the last second and
8 finish it off.

9 Lastly, I would like to thank the SAP
10 staff for putting the meeting together. There is
11 a lot of hard work that goes into putting a panel
12 together, getting them here, and supporting all of
13 that. They do an excellent job. I would like for
14 thank them for that.

15 Let me give our Designated Federal
16 Official, Mr. Paul Lewis, to make any comments, if
17 he would like, before we close the session.

18 DR. LEWIS: Thank you Dr. Roberts, for
19 filling in for Dr. Porter serving as our Chair
20 this afternoon.

21 Again, express my appreciation to SAP
22 members for all your hard work the past few days

1 and the upcoming work we have together writing a
2 report that serves as our minutes based on
3 discussions we had the past few days.

4 As Dr. Roberts mentioned, if we can meet
5 afterwards, we can go over this afternoon our
6 structure follow developing work, in terms of
7 writing our report.

8 And also, finally, for the public for
9 staying involved, in the course of this week, the
10 people that are here thanks for participating and
11 playing an active role in our scientific peer
12 review process.

13 Thank you.

14 DR. ROBERTS Dr. Andersen.

15 DR. ANDERSEN: Again, thank you. You
16 have heard lots of thanks, ours too from the
17 agency's perspective, it does have the SAP group.

18 So in case you don't exactly understand,
19 they are a bit you autonomous from the Office of
20 Pesticide Program. On behalf of the office, we
21 really appreciate your time and effort. There
22 have been some excellent suggestions that will

1 apply to us, I think, on the overall about PIPs,
2 as well as these specific products so we really
3 appreciate some of the thoughtful ideas we have
4 heard last few days.

5 DR. ROBERTS: Thank you Dr. Andersen, if
6 there is no other business, this session of the
7 FIFRA Scientific Advisory Panel is closed.

8 (Thereupon, the meeting adjourned at
9 3:20 p.m.)

1
2
3
4
5
6
7
8
9

CERTIFICATE OF STENOTYPE REPORTER

I, Frances M. Freeman, Stenotype Reporter, do hereby certify that the foregoing proceedings were reported by me in stenotypy, transcribed under my direction and are a verbatim record of the proceedings had.

FRANCES M. FREEMAN

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26
27
28
29
30
31
32
33
34
35
36

I-N-V-O-I-C-E**** *****I-N-V-O-I-C-E*****

FRANCES M. FREEMAN
43773 Sunset Terrace
Ashburn, VA 20147
703/726-6944

TODAY'S DATE: 9/13/02

DATE TAKEN: 8/29/02

CASE NAME: FIFRA SAP

DEPONENTS:

TOTAL: -- PAGES: 299

ATTORNEY TAKING DEPO:

COPY SALES To:

DELIVERY:

COMPRESSED:

DISK:

E-MAIL: no

EXHIBITS:

TRIAL DATE:

**SIGNATURE: